

AD-A055 782


ARMED FORCES EPIDEMIOLOGICAL BOARD WASHINGTON D C
TRANSCRIPT OF PROCEEDINGS, ARMED FORCES EPIDEMIOLOGICAL BOARD A--ETC(U)
APR 78

F/G 6/5

UNCLASSIFIED

NL

1 of 3
ADA
055782




FOR FURTHER TRAN ~~SMITH~~



AD A 055782

6

TRANSCRIPT OF PROCEEDINGS,
ARMED FORCES EPIDEMIOLOGICAL BOARD



AD HOC SUBCOMMITTEE ON LEISHMANIASIS

AD No. DDC FILE COPY

11 11 Apr 78

12 201 p.

Date: April 11, 1978

Place: Washington, D.C.

Pages: 1 - 196

DDC
RECEIVED
JUN 29 1978
REGULATED
D

BOWERS REPORTING COMPANY

4430 Geary Boulevard
San Francisco, California 94118
415-386-0034

520 So. Sepulveda Boulevard
Los Angeles, California 90046
213-476-3003



7309 Arlington Blvd.
Falls Church, VA 22042
703-573-9900

034450

DISTRIBUTION STATEMENT A
Approved for public release;
Distribution Unlimited

CL

UNCLASSIFIED

SECURITY CLASSIFICATION OF THIS PAGE (When Data Entered)

REPORT DOCUMENTATION PAGE		READ INSTRUCTIONS BEFORE COMPLETING FORM										
1. REPORT NUMBER	2. GOVT ACCESSION NO.	3. RECIPIENT'S CATALOG NUMBER										
4. TITLE (and Subtitle) PROCEEDINGS OF THE ARMED FORCES EPIDEMIOLOGICAL BOARD AD HOC SUBCOMMITTEE ON LEISHMANIASIS		5. TYPE OF REPORT & PERIOD COVERED										
7. AUTHOR(s)		6. PERFORMING ORG. REPORT NUMBER										
9. PERFORMING ORGANIZATION NAME AND ADDRESS 134450		8. CONTRACT OR GRANT NUMBER(s)										
11. CONTROLLING OFFICE NAME AND ADDRESS		10. PROGRAM ELEMENT, PROJECT, TASK AREA & WORK UNIT NUMBERS										
14. MONITORING AGENCY NAME & ADDRESS (if different from Controlling Office)		12. REPORT DATE 11 April 1978										
		13. NUMBER OF PAGES 196										
		15. SECURITY CLASS. (of this report) Unclassified										
		15a. DECLASSIFICATION/DOWNGRADING SCHEDULE										
16. DISTRIBUTION STATEMENT (of this Report)												
Distribution Unlimited		DISTRIBUTION STATEMENT A Approved for public release; Distribution Unlimited										
17. DISTRIBUTION STATEMENT (of the abstract entered in Block 20, if different from Report)												
Approved for Public Release; Distribution Unlimited												
18. SUPPLEMENTARY NOTES												
Document prepared by Bowers Reporting Company, 7309 Arlington Blvd., Falls Church, VA, 22042.												
19. KEY WORDS (Continue on reverse side if necessary and identify by block number)												
<table style="width: 100%; border: none;"> <tr> <td style="width: 50%;">Leishmaniasis</td> <td>Medical Research</td> </tr> <tr> <td>Military Forces (United States)</td> <td>Panama Canal Zone</td> </tr> <tr> <td>Diseases</td> <td>Brazil</td> </tr> <tr> <td>Military Personnel</td> <td>Central America</td> </tr> <tr> <td>Military Medicine</td> <td>South America</td> </tr> </table>			Leishmaniasis	Medical Research	Military Forces (United States)	Panama Canal Zone	Diseases	Brazil	Military Personnel	Central America	Military Medicine	South America
Leishmaniasis	Medical Research											
Military Forces (United States)	Panama Canal Zone											
Diseases	Brazil											
Military Personnel	Central America											
Military Medicine	South America											
20. ABSTRACT (Continue on reverse side if necessary and identify by block number)												
<input checked="" type="checkbox"/> Cutaneous leishmaniasis and its effect on the military is discussed. This disease has long been known to be endemic to the Panama Canal Zone, and similarly, the disease is endemic in Brazil and in other Central and South American countries. Outlines of studies of leishmaniasis at Ft. Bragg, immunofluorescent antibody studies, culture techniques in laboratory diagnoses, and therapeutic studies on leishmaniasis using Pentostam are included.												

ARMED FORCES EPIDEMIOLOGICAL BOARD

①

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

AD HOC SUBCOMMITTEE ON LEISHMANIASIS

ACCESSION by	
DTIC	Write Section <input checked="" type="checkbox"/>
DDI	Dist Section <input type="checkbox"/>
UNANNOUNCED <input type="checkbox"/>	
NOTIFICATION	
Res. Hq. on file	
BY	
DISTRIBUTION/AVAILABILITY CODES	
Dist.	AVAIL. SUPPLY SPECIAL
A	

8E069 Forrestal Building
Washington, D.C. ✓

April 11, 1978

DDC
RECEIVED
JUN 29 1978
REGULATED
D

DISTRIBUTION STATEMENT A
Approved for public release;
Distribution Unlimited

Bowers Reporting Company

C O N T E N T S

Page

1		
2		
3	Opening Remarks - Dr. A. Beneson	2
4	Introduction of the Problem - LTC J. W. Cutting	2
5	Studies on leishmaniasis at Ft. Bragg - MAJ E. T. Takafuji	12
6	Immunofluorescent antibody studies on leishmaniasis -	
7	COL C.L. Diggs	36
8	Culture techniques in laboratory diagnosis of leishmaniasis -	
9	MAJ L.D. Hendricks	50
10	Therapeutic studies on leishmaniasis using Pentostam -	
11	COL C.J. Canfield	69
12	Discussion	76
13	Consideration of questions presented in Memorandum from the	
14	Chief, Health and Environment Division, OTSG, DA	107
15		
16		
17		
18		
19		
20		
21		
22		
23		
24		
25		

P R O C E E D I N G S

1
2 LTC ERICKSON: This is a prime example of Murphy's
3 law. Even the projector that was in the booth was not there,
4 and it is out. Anyway, we will start off. First of all,
5 on behalf of the Armed Forces and the Surgeon General, I want
6 to thank the AFEB member and consultants who have come this
7 morning.

8 We fully appreciate that you go through a good bit
9 of personal sacrifice and considerable inconvenience to come
10 to these meetings, and we do appreciate it.

11 The meeting will be recorded in order to give us
12 the detailed minutes that are required by the Federal Advisory
13 Committee Act and in order to have as useful a transcript as
14 possible, if you will state your name before you speak or
15 unless the Chairman, Dr. Benenson recognizes you, please state
16 your name, and then that will be on the record. There are
17 telephones and restrooms down the hall to the left. At the
18 coffee break if you go down to the fifth floor and across
19 the walkway between the two buildings over on the B Corridor
20 there is a snack bar. For lunch, if we run into the afternoon,
21 we will go down to the first floor and across the street, and
22 there is a cafeteria over there in the other section, of
23 course.

24 I think that will take care of it. The consultants
25 who are here have an expense sheet before you, and if you

1 will check with Mrs. Eldridge, she will give you the informa-
2 tion you need to fill those out for the expenses. I hope to
3 get a projector here in a few minutes, and until then we will
4 just have to go without it. I am sorry.

5 Dr. Benenson?

6 DR. BENENSON: I think the first thing we should do
7 is for the sake of the record to identify ourselves and for
8 the sake of the very interested audience that we have here.
9 I had personally not realized how much interest there is in
10 leishmaniasis in the US. When you are in Panama, there is a
11 lot of interest in leishmaniasis. It is encouraging to see
12 that other people, too, are interested in such problems.

13 I gather we don't have loudspeaker systems, so having
14 tested the audibility of the room, I will request now that
15 all discussions be carried at a volume audible throughout the
16 room.

17 Now, by way of introduction, I am Dr. Beneson. I
18 am Director of the Gorgas Memorial Laboratory in Panama.

19 (Introductions.)

20 DR. BENENSON: I am sorry, there are too many behind
21 us to get all the individual introductions. Our problem,
22 obviously, has to do with leishmaniasis, and Dr. Cutting
23 will give us the details of the problem, please?

24 LTC. CUTTING: Thank you, Dr. Beneson.

25 The problem that we are faced with today, as you all

Bowers Reporting Company

1 know is cutaneous leishmaniasis and its effect on the military.
2 The Panama Canal Zone has long been known to be endemic for
3 cutaneous leishmaniasis, and similarly the disease is endemic
4 in Brazil and in other places in Central and South America.

5 US military personnel are permanently stationed
6 in certain of these areas, particularly in the Canal Zone.
7 In addition, we have, at times, large numbers of troops
8 undergoing training, particularly in jungle operations that
9 come down from the United States to the Canal Zone, and we have
10 small numbers that participate in training in Brazil.

11 Cases of cutaneous leishmaniasis occur in these
12 personnel, both in those permanently stationed in the areas,
13 as well as in trainees. The group which has brought it to
14 our particular attention now is in the trainees and in
15 recognition of this there has been a prospective study
16 initiated in personnel that go down from Ft. Bragg to the
17 Canal Zone for training.

18 Major Takafuji is going to talk about this study
19 and some of its early findings, at least, in a few moments.
20 For the moment though, let me just say that we have, indeed,
21 identified cases of leishmaniasis in this group, and there
22 have been a number of other questions that have been raised
23 as a result of this study and of other people talking about
24 problems, and we submitted a memo to the Board which I believe
25 everybody at the table, at least, has which mentions some of

1 these questions. What we are seeking advice on is particularly
2 upon therapy, upon medical follow-up, screening techniques
3 and the blood donor program.

4 As far as therapy goes we are currently using or
5 at least had been up until recently, the CDC protocol, using
6 Pentostam. There has been a new protocol developed by the
7 people at Walter Reed using the same total dose but different
8 dosage schedules. There have, also, been questions raised
9 about whether or not other drugs might be worthwhile to
10 investigate.

11 In terms of medical follow-up, this is a very
12 sensitive subject today, although we haven't talked about
13 cutaneous leishmaniasis before in relation to follow-up.
14 The question which we think about is how long should people
15 be followed? What types of procedures should be employed
16 in following them? Who should be followed and so on?

17 Related to this are screening tests. Who should be
18 screened? What types of procedures should be used, culturing,
19 skin smears, skin testing, the Montenegro test? What are the
20 advantages and disadvantages of each of these? And a fourth
21 area, the blood donor program, we would like the opinion
22 and advice of the Subcommittee and the Board on what, if any,
23 risk exists for those who are infected with leishmaniasis in
24 terms of transmission via blood donations. What restrictions,
25 if any, should be imposed upon those who are infected, on

Bowers Reporting Company

1 those who are exposed without clinical disease or on any other
2 group which we may be able to identify?

3 I should note now that we have notified the major
4 commands that are involved with the training exercises,
5 particularly the training exercises as to the possibility of
6 cutaneous leishmaniasis in those personnel going to Panama
7 and returning. We have alerted them to current methods of
8 therapy and treatment with advice on what procedures to
9 follow. There is work underway to evaluate repellents, both
10 old and new, but I guess my last question to the Board is
11 are there other areas that we should be investigating as well,
12 and if so, could we have your recommendations to that effect?

13 DR.BENENSON: Thank you. You have enunciated the
14 questions that are in the program, that we will address this
15 afternoon. Will you remind us of the subsidiary questions
16 at that time and make sure that we have addressed them?

17 For the sake of clarifying the total picture,
18 cutaneous leishmaniasis, as you have outlined it so far is
19 a disease that troops acquire in Panama, but I think it is
20 worth, for the record and for global thinking, to record
21 where else US troops not currently deployed might encounter
22 such problems.

23 How about filling that out?

24 DR. WALTON: Yes, this is a rather large order
25 without getting into details, but I think the current practice

Bowers Reporting Company

1 is to use the plural when speaking of leishmaniasis, to speak
2 of leishmaniasis because there are a variety of parasites
3 with widely differing clinical manifestations of the disease.
4 Leishmania tropica or so-called "oriental sore, Delhi boil,"
5 various local names, has a very wide distribution through the
6 Mideast, Africa. The visceral forms are, also, widely
7 distributed throughout most of the Orient and surprisingly
8 enough it is a real entity in the Americas also, although
9 you won't find this in current texts. This is something that
10 is just coming out. So, I would say that it is practically
11 a worldwide problem in the subtropics and tropical zones.

12 DR. BENENSON: Dr. Farah?

13 DR. FARAH: My particular experience is in tropical
14 Leishmaniasis, and I think we are beginning to see, at least
15 in areas that are now being developed and opened up for
16 habitation and industrialization and oil and all that and
17 even new endemic areas of leishmaniasis. For instance,
18 around the Mediterranean Basin, in Libya, in Afghanistan,
19 in Pakistan, certainly there are very serious problems of
20 leishmaniasis. In Iran --

21 DR. BENENSON: Cutaneous?

22 DR. FARAH: Cutaneous leishmaniasis, yes. Of
23 course, there is also the other form of leishmaniasis which
24 Dr. Walton referred to, and that is the visceral leishmaniasis.
25 It is interesting to note that at least our experience is

1 that the incidence of one is almost inversely proportional
2 to the incidence of the other. So, in areas where there is
3 a high incidence of cutaneous leishmaniasis, we see less
4 systemic leishmaniasis and vice versa. There is now a very
5 serious epidemic of systemic leishmaniasis in England.

6 There is very recently a report of both cutaneous
7 leishmaniasis and also visceral leishmaniasis imported from
8 Northern Italy, so that I suppose the area of the Mediterranean
9 is a well-known and documented focus for both types of
10 leishmaniasis.

11 DR.BENENSON: You say, "Northern Italy"?

12 DR. FARAH: Well, it has been known previously
13 in the southern parts of Italy but the recent report is from
14 the North.

15 DR.BENENSON: Dr. Marsden, any additions?

16 DR. MARSDEN: Yes. As regards the situation,
17 perhaps I should comment more on South America, since that
18 is where I have known it, although I could speak on Africa
19 and the Mediterranean.

20 The situation in Brazil which is half South America
21 is that in every state that it has been looked for in the
22 Brazilian states it has been found. Really, reports have
23 not appeared when an investigation has been mounted. Quite
24 a high incidence has been found based on a hospital study.
25 An example is Goias in the center of Brazil which is the

1 state in which the Federal District, where Brasilia was
2 constructed exists and William Barbosevelt, five years ago,
3 he reported 110 cases seen in two years at his clinic in
4 Goiago(?) and this was a state which if you look in Samuel
5 Couseau(?) which is the epidemiological reference really for
6 these things in Brazil, there is no note in Samuel Couseau's
7 classical text of the disease existing in Goias, and so it
8 is quite likely, actually, it exists throughout the states of
9 Brazil, in all the areas.

10 So, in the north of Brazil we know that cutaneous
11 mucocutaneous leishmaniasis exists, in the Guyanas, in
12 Venezuela as you pass up towards the Central American stem.
13 To the south, the incidence appears to be low in Argentina,
14 just in the north. Peru has its own classical form, of course.
15 Chile, I am told, and I have been to Chile, that it is rare.

16 So, really the involvement in South American with
17 this form which was initially characterized by Gashbar
18 Veனர்is(?), the incidence is notable.

19 The situation as regards kala-azar, visceral
20 leishmaniasis in South America is that it occurs in Brazil
21 in various coastal states and also occurs in Venezuela, and
22 there are reports from other parts of the Americas.

23 There has been an attempt to raise a new species,
24 a subspecies of *Leishmania donovani*, *Leishmania donovani*
25 *shagasi*(?) based on the characteristics of the type of

1 visceral leishmaniasis, because as we know in visceral
2 leishmaniasis the behavior clinically and particularly the
3 chemotherapeutic response is extremely variable depending
4 on which type of visceral leishmaniasis you are talking about.

5 My worse cases have been those from the
6 Mediterranean, the only cases in which I have seen splenectomy
7 employed as a way of diminishing the load of parasites after
8 repeated chemotherapy with pentavalent antimonial pentaminate(?)

9 Fortunately the situation in Brazil as regards to
10 leishmaniasis chemotherapy is good. It responds almost as
11 well as Indian kaka-azar, so that I have never seen a case,
12 and Protta has not either who has more experience than I have
13 and wrote a monograph on it, we have never seen a case where
14 it has resisted pentavalent antimonial, a case of visceral
15 leishmaniasis, I stress.

16 DR.BENENSON: What about Africa, other than the
17 Mediterranean Basin?

18 DR. MARSDEN: Well, of course, there is a tremendous
19 amount of leishmaniasis extending down the the East into
20 the Rift Valley. The Sudanese form of leishmaniasis which
21 is unusual in that they have both visceral and cutaneous
22 forms, and sometimes the visceral form has cutaneous
23 manifestations. Kala-azar is endemic in the Rift Valley
24 in Kenya, and it is, I would say, the second worse form of
25 leishmaniasis to treat after Mediterranean. I am talking

Bowers Reporting Company

1 about visceral leishmaniasis, but in terms of kala-azar the
2 worst type of kala-azar to treat is the Mediterranean. The
3 second worst is in the Rift Valley and the Sudan, and Indian
4 responds well. The Chinese, I have no experience with that,
5 but the literature says that that responds well, and the
6 Brazilian responds well.

7 A very interesting thing about the Brazilian is
8 where did it come from. I don't want to dwell on this, but
9 -- do you want me to dwell on that?

10 DR. BENENSON: No, not yet. Let us not dwell on it
11 yet. We do have a program. We are running a little behind,
12 but I want to develop the picture as we go. We will let that
13 go for the time being.

14 Any other comments on the geographic distribution
15 beyond what we have? All right, Brice?

16 DR. WALTON: Just one. The United States has now
17 joined the ranks of the elite group of countries having it,
18 since we now have at least three, I have talked on this,
19 cases of cutaneous leishmaniasis acquired inside the United
20 States. Is that right, Mike? Have there been more since
21 then?

22 DR. SCHULTZ: I knew of two. Our staff investigated
23 two. I am not sure where the third is.

24 DR. BENENSON: Specifically from where?

25 DR. SCHULTZ: Texas.

1 DR. BENENSON: Is your third one a Texas report?

2 DR. WALTON: It is presumed the one before that
3 was from the same area or possibly Tomalipas(?) Mexico, which
4 is also not a known endemic area. Remember the case published
5 just a few years before that.

6 MAJ. HENDRICKS: The literature recently has reports
7 from South Africa as well. I have some isolates from that.
8 It is cutaneous form in South Africa.

9 CAPT. GUNNING: Captain Gunning from Ft. Pendleton.
10 While I was in Taiwan they were researching it, and we
11 uncovered two cases of cutaneous leishmaniasis on the Island
12 of Taiwan where the disease has never before been reported.

13 Combing the Japanese literature we were unable to
14 discover anything. The only thing we have ever seen in
15 Taiwan were imported cases of kala-azar from the China
16 mainland when Chiang Kai-shek's troops specifically came
17 over. They brought with them several people who were infected,
18 and the other source of disease on the island was the leprosy
19 area where an occasional case of post-kala-azar dermoleishman-
20 pid had been secreted away as a leper, and beyond maybe a
21 dozen or so reported cases from that ingress of the Chinese
22 Nationalist troops, no other cases of visceral leishmaniasis
23 were known on that island, and then the two cutaneous cases
24 arose in the very same village in the Central Highlands.

25 We were, also, unable to find infected sandflies in

1 that village. It must be a very low density focus.

2 DR. BENENSON: Dr. Farah?

3 DR. FARAH: I don't know whether you want to also
4 mention the other form of cutaneous leishmaniasis, the
5 so-called "diffuse cutaneous leishmaniasis" which exists
6 certainly in South America where it has been described and
7 also, in Ethiopia which may be an interesting area these
8 days.

9 DR. BENENSON: I think I have achieved my purpose,
10 and that was to not let Colonel Cutting get away with the
11 idea that it is a disease restricted to the country where I
12 live. It is a worldwide problem, and from the military
13 perspective, I think it has to be considered as a worldwide
14 problem and not just a problem of training troops that are
15 being sent to Panama for a, what is it, three-week, six-week
16 exercise? How long is the exercise?

17 LTC. CUTTING: Three weeks.

18 DR. BENENSON: A three-week exercise. All right.

19 If I remember right, our next was going to be a
20 more precise set of information by Dr. Takafuji on what has
21 gone on among the people who have come back from Panama.

22 We will talk about Panama now.

23 MAJ. TAKAJUJI: As mentioned earlier, cutaneous
24 leishmaniasis has indeed been reported in military personnel
25 and civilian travelers visiting tropical and subtropical

1 areas of the world for several years now. The majority of
2 the most recently reported cases, however, of New World
3 cutaneous leishmaniasis have been in US servicemen assigned
4 to Panama for varying lengths of time. Many of these cases
5 were participants in the jungle warfare training course.

6 This morning what I shall do is present a
7 summarization of the incidence of this disease in Ft. Bragg
8 personnel, first of all, within the last two years and then
9 describe a surveillance study that is presently in progress
10 with a typical unit that had been deployed to the Canal Zone
11 last year.

12 The number of cases seen at Ft. Bragg in 1977, is
13 a definite increase over what has been reported previously.
14 Between December 1976 and January 1977, two enlisted
15 personnel from the 82nd Airborne Division were diagnosed as
16 having cutaneous leishmaniasis.

17 In the summer of 1977, an additional five cases
18 were diagnosed. All of these individuals had been to Panama
19 for training two to six months prior to the time of diagnosis
20 and all gave a history of having had sores on return from
21 Panama.

22 If I may see the first slide, please?

23 I would like to present just two examples of the
24 cases that we saw last year. This individual is a 25-year-old
25 black male who developed a primary lesion on his left wrist

1 while in Panama.

2 Approximately three months after his return to
3 Ft. Bragg he developed secondary lesions on his elbow, as
4 well as lymphadenopathy extending up his arm.

5 If I may see the second slide, please?

6 This is the second lesion on his elbow. The
7 second case that I would like to present is a 37-year-old male
8 Special Forces individual.

9 Third slide, please?

10 He developed this crusted ulceration on his elbow
11 that began as a small crusted papule.

12 Slides off, please?

13 What I am going to pass around to the members of
14 the Board is a folder that includes some pictures of these
15 cases so that you can look at them a little closer, as well
16 as another individual who was seen, one of the individuals
17 who was seen in January of this year at Ft. Bragg, and those
18 pictures reflect the scars.

19 Also, in that folder are some pictures of the unit
20 that I will be discussing that went through jungle warfare
21 training school in November of last year.

22 In 1977, four individuals from Special Forces Units
23 at Ft. Bragg were sent to Brazil for several months of
24 training in jungle warfare tactics. All four of these
25 individuals developed ulcerations that were consistent with

1 cutaneous leishmaniasis. In at least three of the four
2 individuals organisms have been recovered from ulcerative
3 sites.

4 At least one individual has not responded to
5 several courses of Penostam, as well as Amphotericin B, with
6 continuing positive cultures from his initial lesion site.

7 The ten culture-proven cases that I have described
8 in 1977 appear indeed to indicate an increasing incidence
9 of clinically apparent illness, but it is recognized that
10 increased awareness with raised clinical suspicion may also
11 be playing a role here.

12 Probably one of the reasons why more cases are
13 being noticed lately is related to the development of the
14 unit training concept in Panama where battalion-sized units
15 from the same posts are now being trained as one unit. Hence,
16 disease in a unit of several hundred persons becomes more
17 apparent when this unit returns to its original post.

18 In November 1977, over 650 military personnel from
19 the First Battalion 325th Infantry belonging to the 82nd
20 Airborne Division were deployed to Ft. Sherman in the Canal
21 Zone for jungle warfare training.

22 If I may see the next slide, please?

23 The area that we are concerned about, of course,
24 is the Canal Zone and in particular this part of the Canal
25 Zone with Ft. Sherman sitting on the peninsula here.

1 If I may have the second slide, please?

2 This is a blowup of the Canal Zone. Just to
3 orient you a little bit, north is this way. Ft. Sherman is
4 located here on this peninsula, but the training area that
5 we are talking about extends in general across half of the
6 Isthmus of Panama in this area here. Training takes place
7 in this area.

8 The 624 participants then underwent two and one-half
9 weeks of intensive training following an initial drop in
10 the Gatun drop zone. The Gatun drop zone is located here.

11 The 624 participants then underwent various
12 training covering areas such as jungle skills, squad and
13 company combat tactics, navigation, water-borne operations,
14 propelling from helicopters, as well as patrolling.

15 If I may see the next slide, please?

16 Some of the publicity that was generated down at
17 Ft. Bragg reflects the type of training that was being
18 conducted, and if you could refer to the photographs in the
19 book that I have passed around, I think you will get a general
20 idea of what exactly happened.

21 Slide off, please?

22 The training pattern for this particular battalion
23 was very similar to that of previous groups from which cases
24 of leishmaniasis were identified earlier in the year, and it
25 culminated with a field exercise that involved the entire

1 battalion during the last week of the exercise.

2 The group returned to Ft. Bragg on December 10,
3 after initial deployment on November 19.

4 A prospective surveillance study of this battalion
5 was conducted by the Health and Environment activity at
6 Ft. Bragg and the Division Surgeon, 82nd Airborne Division,
7 with the support of the Division of Preventive Medicine,
8 Division of Communicable Disease and Immunology and the
9 Division of Medicinal Chemistry at the Walter Reed Army
10 Institute of Research.

11 The primary objectives of this study were to first
12 of all determine the morbidity from leishmaniasis by
13 determining the clinical and subclinical attack rates based
14 on clinically apparent signs and symptoms, as well as
15 serologic data; number two, to correlate the incidence of
16 disease with demographic information of the participants
17 and also currently available information on the distribution
18 of sandfly populations in the area in an attempt to identify
19 possible risk factors; number three, to identify individuals
20 in the group with evidence of infection, thereby allowing
21 line commanders to be aware of the possible need to replace
22 these individuals in situations of realistic deployment,
23 and finally, number four, to determine the expenditure of
24 time, financial resources and personnel required to conduct
25 surveillance for cutaneous leishmaniasis, realizing that

1 the need for the development of the most efficient means to
2 conduct continuing surveillance was in order.

3 Demographic data, including age, rank, race, years
4 of active duty and previous exposure to areas of the world
5 endemic for leishmaniasis were collected.

6 In November of last year pre-deployment sera were
7 collected from all of the 624 participants one week prior
8 to departure from Ft. Bragg.

9 In January of this year approximately four to six
10 weeks following return of the unit to Ft. Bragg, post-deployment
11 sera were collected from 612 of the 624 individuals or
12 98 percent of the participants.

13 Dermatologic evaluation of all of the participants
14 was, also, performed by a trained physician at the time of
15 the second bleed. Individuals were, also, questioned about
16 the occurrence of skin problems or fever during or
17 immediately following the training exercise through the use
18 of a questionnaire form.

19 Individuals with suspicious lesions were cultured
20 using Schneider's insect media. Techniques will be
21 described by Major Hendricks later on this morning. Paired
22 sera were then tested for the presence of antibody utilizing
23 the indirect fluorescent antibody test which will be
24 discussed after my presentation by Colonel Diggs.

25 Results, also, will be presented at that time.

1 During the last week of this month, depending on
2 the availability of the members of the unit and commitments
3 to continuing training exercises, a third blood specimen will
4 now be collected from all participants who are still present
5 at Ft. Bragg.

6 The reason for the third blood specimen is to
7 detect, hopefully, any late serologic converters.

8 The study population of 624 individuals had a mean
9 age of 22.4 years, with the oldest individual being the
10 battalion commander at age 39. Individuals ranked from
11 lieutenant colonel to E-1 with 4.8 percent being officers,
12 95.2 percent being enlisted personnel.

13 Racial composition of this group included 74.1 per-
14 cent white, 18.5 percent black and 7.4 percent other racial
15 groups, including Spanish-American, Mexican-American, Indian
16 and Oriental ancestries.

17 Approximately 19 percent gave a history of having
18 been in Central America previously, and 7 percent gave a
19 history of having been in the West Indies or South America
20 previously. Three percent gave a history of being in Africa,
21 the Middle East or the Indian subcontinent previously.

22 This interprets to mean that approximately 70 percent
23 of the group that was being studied gave no history of prior
24 exposure to an endemic area.

25 In January and February 1977, individuals presenting

1 with dermatologic problems following the exercise were
2 evaluated. Cultures for leishmaniasis were obtained from all
3 suspicious lesions and punch biopsies were, also, obtained
4 from the more suspicious lesions.

5 A total of 30 cultures were performed, and 13 biopsies
6 were done. Using positive cultures as a definitive test for
7 the diagnosis of cutaneous leishmaniasis, it was possible to
8 identify 10 individuals with this disease. This yielded a
9 clinically apparent attack rate then of 16 per 1000 per
10 two and one-half weeks of exposure.

11 Biopsies have failed to reveal characteristic
12 organisms, and in general were usually non-specific but
13 consistent with a diagnosis of cutaneous leishmaniasis.

14 The majority of these cases have begun treatment
15 at the Walter Reed Army Medical Center with Pentostam, but
16 it is too early to determine the real prognosis, since many
17 have only recently been started on the treatment regimen.

18 Now, realizing that many of you may have specific
19 questions regarding these 10 cases that were diagnosed, I
20 have summarized their demographic and clinical information
21 on the handout in front of you.

22 I would, also, now like to show you some pictures
23 of at least five of these early cases to give you an idea
24 what the early signs of this disease are like.

25 The first case is a 26-year-old E-5 who is study

1 number 075 on your handout.

2 The site of the lesions actually were the right
3 hand and the left thumb, not the left hand or the right thumb
4 as described on your sheet here.

5 May I have the next slide, please?

6 Here is a closer view of the same lesion.

7 Next slide, please?

8 The next case is a 30-year-old E-6 who developed a
9 lesion on his right knee.

10 May I see the second slide, please?

11 This is number 293 on your sheet.

12 The third case is number 334 on your sheet.

13 Next slide, please?

14 Again, another lesion on the knee, a 22-year-old
15 E-4.

16 Next slide, please?

17 The next case is number 340 on your sheet.

18 Next slide, please?

19 He developed lesions on the left lower leg, both
20 across the skin and as well as on the calf.

21 Next slide, please?

22 This is the same individual. This is his calf.

23 The next case is number 383 on your sheet who
24 developed lesions on his back which appeared to be more
25 popular than anything else, no real ulcerations. He actually

1 had these lesions extending over his back and across his
2 shoulders, a 40-year-old E-7.

3 Next slide, please?

4 This is a closer view of those same lesions. It
5 should be reiterated that all of these cases were detected
6 very early in the course of their illness, approximately
7 one to two months following exposure in Panama.

8 Slides off, please?

9 The 10 cases that you have in front of you have had
10 positive cultures obtained. In the last column of your
11 handout, you can see it says pre- and post-deployment sera.
12 These will be discussed by Colonel Diggs, and what we are
13 referring to here is the indirect fluorescent antibody
14 test.

15 What is especially interesting in the occurrence
16 of disease is the occurrence by units. Five of the 10 cases
17 belonged to B Company of the 1st of the 325th. Yet, if you
18 look at the second table, you will see that the number of
19 participants from B Company is not substantially greater from
20 the other groups. Reasons for this high incidence in this
21 one company are not clear at this time, since preliminary
22 information that has been supplied to us from the Battalion
23 Headquarters, as well as the Training Center suggests that
24 there were no differences in the training. However, companies
25 and platoons did tend to train together, and it is possible

1 that greater exposure did occur in this one group.

2 Please, also, note that the majority of the lesions
3 seen were below the elbow and below the knee. One individual
4 had positive lesions on his back.

5 As you review the photographs of the group in
6 training, it will be obvious that individuals do remove their
7 shirts and roll up their sleeves in tropical climates. Why
8 we have not seen any lesions on the face or the neck is
9 uncertain, but this may be due in part to the manner in which
10 insect repellent and camouflage paint is being applied.

11 As a final note on the occurrence of disease in
12 this group, let me just mention that orthopedic and
13 dermatologic foot problems were the most common problems.

14 During the last four days of training, however,
15 during the major battalion exercise, six individuals in this
16 group developed fever of unknown etiology. Temperatures
17 ranged from 102 degrees to 106 degrees with accompanying
18 symptoms of malaise, myalgia and headache. Few respiratory
19 complaints were noted.

20 One soldier was hospitalized at the local Cocosolo(?)
21 Naval Hospital for several days. It was impossible, of course,
22 to collect specimens from these ill individuals in the field.
23 So, specimens were collected upon their return to Ft. Bragg.
24 However, many of them were well into the convalescent phase
25 by that time.

1 Specimens included stool, throat washings, urine
2 and blood. No viruses were isolated, and paired sera were
3 run for dengue, Venezuelan equine encephalitis, histoplasmosis
4 and several of the sandfly fevers with no suggestion of an
5 etiologic agent.

6 A malaria workup on the ill soldier that was
7 hospitalized in Cocosolo was negative, and no relapses of
8 illnesses have occurred in any of the six individuals.

9 In summary then over the last two years a total
10 of 20 cases of cutaneous leishmaniasis have been diagnosed
11 in Ft. Bragg personnel. Ten of these cases have occurred
12 in the group under study, yielding a clinically apparent
13 attack ratio of 16 per 1000 individuals deployed for two and
14 one-half weeks of training.

15 Definitive diagnosis rested on positive cultures
16 for organisms, since biopsies of lesions were often not
17 helpful. It appears that the attack rate may be substantially
18 higher for participants in the Brazilian jungle training
19 course.

20 Specific locales of greatest exposure in Panama
21 have not yet been identified, although there does appear
22 to be a higher incidence of illness in this one company
23 that I have mentioned, suggesting greater exposure in
24 particular locales

25 Are there any questions?

1 DR. BENENSON: Dr. Farah?

2 DR. FARAH: Were direct smears done from these

3 lesions?

4 MAJ. TAKAFUJI: Sir?

5 DR. FARAH: Direct smears?

6 MAJ. TAKAFUJI: From which?

7 DR. BENENSON: From the lesions.

8 MAJ. TAKAFUJI: Were direct smears done? No, they

9 were not done.

10 DR. BENENSON: Dr. Gunning?

11 CAPT. GUNNING: You alluded earlier to a case that

12 had been resistant to several courses of Pentostam and then

13 resistant to Amphotericin. What was the total dosage of

14 Amphotericin?

15 MAJ. TAKAFUJI: Major Hendricks, maybe you could

16 comment on that. I think you know more about that case.

17 MAJ. HENDRICKS: He received 291 micrograms?

18 SPEAKER: 581 milligrams.

19 MAJ. HENDRICKS: Milligrams before his creatinine

20 level reached a point where it was thought better to take

21 him off it.

22 DR. MARSDEN: Marsden. So, it was chemically

23 regarded then as an effective course?

24 MAJ. HENDRICKS: That is right.

25 DR. BENENSON: Dr. Nowosiewsky, you had a question?

1 COL. NOWOSIWSKY: Yes. Ernie, you mentioned
2 that we saw those 10 cases that you presented as part of this
3 study. Now, I know that you had two additional battalions
4 participate in training, one that went in January and one
5 that went in February. Would you indicate what was the
6 incidence of cases in those units and also, how does your
7 surveillance program differ from the unit that you are
8 studying and to the one that you just provide with team
9 surveillance?

10 MAJ. TAKAFUJI: There is no doubt that the group
11 that we studied was under closer scrutiny than any other
12 group that had been studied previously. The two groups that
13 Colonel Nowosiwsky alluded to that have gone down to Panama
14 since our group has gone down, we have not seen any cases
15 of cutaneous leishmaniasis in those groups as of yet, but it
16 should be remembered that the surveillance on these two
17 groups is based on the individuals coming to their troop
18 clinics with dermatologic problems.

19 The physicians and physician's assistants have been
20 briefed on the problem, and they are referring questionable
21 problems to the dermatology clinic, but it really rests on the
22 individual coming in with a problem.

23 It is logistically impossible for us to do a
24 thorough screening on all of these groups that have been
25 down there.

1 DR. BENENSON: How many of these 10 men reported
2 sick call under their own power?

3 MAJ. TAKAFUJI: None of them did.

4 DR. BENENSON: None of them. They were all picked
5 up on your screening?

6 MAJ. TAKAFUJI: That is correct.

7 DR. BENENSON: Not even the one with the leg
8 lesion?

9 MAJ. TAKAFUJI: That is right.

10 DR. BENENSON: Any other questions? Dr. Simpson?

11 DR. SIMPSON: Dr. Takafuji, what was the total
12 follow-up period? I missed that in your discussion.

13 MAJ. TAKAFUJI: The group was deployed on the 19th
14 of November. They returned on the 10th of December, and we
15 have been following up this group ever since, and we plan
16 to follow them up until this third bleed which will be in
17 two weeks.

18 DR. BENENSON: Dr. Marsden?

19 DR. MARSDEN: The Group B as you commented is
20 extremely interesting, with five members in the one group.
21 I wondered whether these five people had stopped, you know,
22 somewhere in the forest and had a smoke between the hours
23 of 4 and 6 in the evening, you know. It is the obvious
24 thing to go for, these microhabitats of the Phlebotomus.
25 Were these five ever together between 4 and 6 and stopped in

1 the forest?

2 MAJ. TAKAFUJI: Let me just mention that three of
3 these five belong to the same platoon. Two of them are
4 black. One of them is a white individual. As far as we can
5 determine, and I just got through talking, in fact, to these
6 three individuals yesterday, as far as we can determine they
7 train together. So, it is just impossible to determine
8 where their exposure did occur.

9 DR. BENENSON: Dr. Walton?

10 DR. WALTON: In our 1965 study of a similar
11 outbreak, if you will, in troops, we noted that the type of
12 activity was very much associated with the incidence of
13 infection and most of these cases came from what was then
14 called the ATT and now ARTIP(?) the army training test for
15 small units in which they were harassed constantly by a small
16 aggressor force, and when we have almost a battalion size
17 unit in the field 90 percent of the cases, if I remember
18 correctly, but anyway the great majority of the cases came
19 from the aggressor units which moved about during the whole
20 exercise and probably never separated more than a few
21 hundred yards from the main body of troops. So, actually
22 the place of exposure was very little different. I believe
23 that the key to the difference in type of exposure was that
24 these men lay in concealment, in ambush. They lay down on
25 the forest floor in a leaflet or tree buttresses without

1 moving during the period of time.

2 Dr. Marsden related to the period of activity,
3 greatest activity of the sandflies. However, if you give them
4 exceptional opportunity to bite, lie down in leaflet or in
5 quiet during the day, they will take advantage of that
6 opportunity. I think that this is the type of question you
7 might very well ask in some of the other units. Was someone
8 on aggressor duty? Were they on a night ambush, this sort of
9 thing, I think is more pertinent than whether it is
10 navigation or this sort of thing.

11 DR. TAKAFUJI: Nine of these 10 individuals did
12 participate in this exercise that I described during the last
13 week where the whole battalion went out, but they really
14 did both things. In other words, they were both aggressors
15 and defenders, you might say. The course has changed a
16 little bit in their emphasis on certain areas and so forth.

17 What they do is they divide the group that comes
18 into the school into approximately three to four groups, but
19 they all cover the same areas, just at different times, but
20 they all participate finally during the last week in this
21 one battalion exercise.

22 We have thought about this, asking this type of
23 question, but we haven't really found anything to indicate
24 the ones that were more sedentary being the higher risk.

25 DR. BENENSON: Dr. Neva?

1 DR. NEVA: Neva, NIH. I wanted to just ask about
2 your recovery of the organisms. Apparently then every one of
3 the 10 patients who had clinical lesions you were able to
4 recover an organism?

5 MAJ. TAKAFUJI: Yes, sir.

6 DR. NEVA: Then, I wanted to ask was that done at
7 Ft. Bragg or were they all sent to Walter Reed? Was it the
8 same group doing the culturing all the time and were they
9 all from biopsies? Were the cultures from biopsies?

10 MAJ. TAKAFUJI: I mentioned the questionnaire that
11 was distributed. A questionnaire was distributed at the time
12 of the second bleed, and one of the questions that was asked
13 is do you have any sores at this time or have you had any
14 sores since returning from Panama that was consistent with
15 the disease leishmaniasis, and what we did was we screened
16 these positive answers, had them all come in and had them
17 evaluated again a second time, and the more suspicious
18 lesions were cultured.

19 Those are the 30 cultures that I alluded to earlier.

20 DR. NEVA: Then are there some lesions that haven't
21 been biopsied?

22 MAJ. TAKAFUJI: Yes, there are.

23 DR. NEVA: There are. Okay. In other words, there
24 may be some additional cases.

25 MAJ. TAKAFUJI: There may be some additional cases .

1 That is correct.

2 DR. NEVA: But the ones that were biopsied were
3 all, you got positive cultures from all of them?

4 MAJ. TAKAFUJI: The ones that were biopsied revealed
5 non-specific changes, in other words, inflammatory responses
6 and so forth.

7 DR. NEVA: You are misinterpreting biopsy from --
8 I don't mean histologically, I mean by culture. Where do
9 you get the material to put into the culture, from a biopsy
10 or from a scraping or what?

11 MAJ. TAKAFUJI: No, these were done by aspirant
12 which Major Hendricks will go into in more detail later on
13 this morning. I purposely did not get into this because he
14 is going to be discussing this.

15 DR. BENENSON: Okay, we will defer that question
16 then since it is going to come up.

17 Dr. Burke?

18 COL. BURKE: Burke, from Walter Reed. I would
19 like to refer you to what Dr. Walton and Dr. Marsden have
20 spoken to on the occupation of an individual. In my
21 experience in Panama I had one especially that was pertinent.
22 He ran a switchboard. He moved from a road less than 50 yards
23 away, set up his switchboard, stayed there for two days. So,
24 we knew exactly, and he had never moved away from that
25 area except from the road to the switchboard, and so it is

1 very micro oriented to where the individuals are and this
2 was on the Pacific side of the Canal Zone.

3 Two other cases were two MP's out for a walk, and
4 they did not want to leave because of falling off of a cliff
5 after it got dark, and they camped in one specific area or
6 slept on the ground was all they did, and again they came
7 down with multiple lesions, all isolated and all this.

8 DR. BENENSON: Any other comments on Dr. Takafuji's
9 presentation?

10 Dr. Marsden?

11 DR. MARSDEN: I just wanted to ask how many of
12 these five people were smokers?

13 MAJ. TAKAFUJI: I don't know. We did not ask that
14 question.

15 DR. BENENSON: Dr. Simpson has a couple of slides.
16 Any other point of discussion while Ernie is up there?

17 Okay, thank you very much.

18 Maybe I do have another question. I am still not
19 clear. You did not physically examine all 600 men. It was
20 purely those who said that they had some sort of a sore on
21 their body.

22 MAJ. TAKAFUJI: We examined all the individuals
23 that we bled. Six hundred and twelve of the 624 individuals
24 were examined and also bled.

25 DR. BENENSON: But then you confused me by saying

1 that those who were bled were asked if they had sores, and
2 they were called back.

3 MAJ. TAKAFUJI: At the time of the bleeding a
4 questionnaire was distributed, and it asked the question
5 whether they had sores, and the ones who answered yes were
6 then screened again, were pulled back in --

7 DR. BENENSON: For a second physical screening?

8 MAJ. TAKAFUJI: -- for a second dermatologic
9 evaluation. That is correct. At that time cultures were
10 done on the more suspicious lesions.

11 DR. BENENSON: Does that mean the first screening
12 had missed the lesion?

13 MAJ. TAKAFUJI: The first screening only detected
14 the presence of a lesion. In other words, the questionnaire
15 asked whether there was a sore. A physician did verify that
16 there was a sore, and if there was a sore then he was called
17 back. He was put on a list, and this list included
18 30 individuals at the end, and these 30 individuals were
19 then called back in and actually examined in considerable
20 detail.

21 DR. BENENSON: Thank you.

22 MAJ. HENDRICKS: There is still some controversy
23 over this. They were completely examined at the time they
24 were bled. Anyone with a suspicious lesion or in most cases
25 they claimed they had a lesion or whatever, were then rounded

1 up about a week later and cultured, but there were a couple
2 of cases where the individuals did not know or did not claim
3 that they had a lesion or a papilloma. It was found by the
4 examination.

5 DR. BENENSON: All right. So, in other words,
6 trying to get this straight, they came in for their bleeding,
7 and they had a total body physical examination. If they
8 had a lesion they were asked to come back a week later. If
9 the lesion was not manifest and in their questionnaire they
10 said that they had a lesion they were brought back. These
11 were 30 people, 30 men who then were cultured, and of those
12 30, 10 were positive. That is the picture?

13 MAJ. TAKAFUJI: Yes, sir.

14 DR. BENENSON: Okay, thank you.

15 MAJ. TAKAFUJI: Oh, I should, also, mention
16 that these individuals were asked about the presence of
17 dermatologic problems before they went to Panama, and this
18 was documented also, so that we wouldn't have any confusion
19 in terms of source.

20 DR. BENENSON: Frank, are you clear?

21 DR. NEVA: No, I am not. In other words, then,
22 10 of the 30 that were cultured were positive?

23 MAJ. TAKAFUJI: That is correct.

24 DR. NEVA: There were 20 that were cultured that
25 were negative.

1 DR. TAKAFUJI: That is correct.

2 DR. BENENSON: Tom? Dr. Simpson?

3 DR. SIMPSON: While we are loading these slides,
4 or are they loaded? I would like to reinforce the point
5 that Dr. Marsden made, that was reinforced earlier by
6 Colonel Walton that probably lying doggo in the microhabitat
7 of the Phlebotomus may be the responsible key to this.

8 We had a patient who was seen in 1968, I believe,
9 in the Army Medical Center in Okinawa. This man had had
10 training in the Sherman Rain Forest and had gone to Vietnam
11 and had been picked up with lesions two and one-half months
12 after the training period. At that time they were resolving
13 to a large degree, but in the laboratory at Okinawa we were
14 able to identify the organisms from the margin of lesions.
15 So they were confirmed lesions, and I thought it would be
16 of interest to show you this very quickly, the photographs
17 of this man's lesions.

18 Could we get the lights off?

19 These are like lesions that Dr. Takafuji just
20 showed, and we can go quickly through to the end one. Hear
21 is one on his ear, but if you will hold that for a moment,
22 we put this man in his customary sleeping position. He
23 said that he always slept like this, lying always on this
24 side, and if you notice, every one of the lesions are exposed.
25 So, it may be that lying with one part of the body exposed

1 is the key to it, and it brings to mind the one study that
2 I remember that came out of the Sherman Rain Forest from the
3 military there a number of years ago where there was an
4 anecdotal account of a soldier who was issued antimalarial
5 including gloves, and this particular person was issued two
6 left gloves, and the area on the wrist of the hand that had
7 the malfitting glove was the one where the single lesion
8 occurred.

9 DR. BENENSON: Thank you very much for the diversion,
10 Tom.

11 Colonel Diggs is next on the serological studies
12 on these cases.

13 COL. DIGGS: What I thought I would do is describe
14 to you briefly how we went about setting up the test and
15 then again briefly describe the data that we obtained on the
16 first and second bleedings of the men who were exposed in the
17 Canal Zone.

18 The sera were studied using a fluorescent antibody
19 procedure based on that described by Walton, and the first
20 slide will just give you an overview of the procedure as we
21 did it.

22 The antigen was *Leishman braziliensis*, a Panama
23 strain amastigotes from axenic culture, stored in 20 percent
24 glycerol at minus 70, and the slides prepared and the
25 organisms formalin fixed on the day of the test. The unknown

1 serum was diluted 1:16, applied to an antigen slide for
2 20 minutes, then washed six times followed by anti-immuno-
3 globulin which was goat antihuman mixed globulins 1:150, with
4 .1 percent Evans blue, 15 minutes and then washed six times
5 again.

6 The sera were set up for the test by an individual
7 who then divorced himself from the procedure and the readings,
8 and then the slides themselves were examined for fluorescence
9 by two observers who compared notes only after they had read.

10 Next slide?

11 To tell you how these were graded if the pattern
12 showed that all organisms fluoresced by both observers they
13 were graded then as 2 to 4+ depending on the intensity of
14 fluorescence, and the interpretation was clearly reactive.

15 If 50 percent or fewer organisms fluoresced they
16 were considered non-reactive. There were several grades here,
17 negative, with no visible fluorescence, 1+ which was roughly
18 50 percent of the organisms fluorescing and then plus or minus
19 in between.

20 If two observers disagreed, then we recorded this
21 as weakly reactive and in the data that I will discuss I have
22 lumped reactive and weakly reactive together.

23 As you will see, this results in that it turns out it
24 essentially doubles the rate of non-specific reactors but
25 it does, we felt, ensure that we would be looking at maximum

1 sensitivity.

2 Next slide?

3 This is simply the antiglobulin titration to show
4 you what we encountered with one normal and one positive
5 control serum. There was a little bit of non-specific
6 reactivity at 1:4 of antiglobulin and 1:8 of the normal
7 serum, and this disappeared in this particular serum at
8 1:16 of the serum, and you can see that reactivity in this
9 particular positive control serum persisted with high dilution
10 of antiglobulin.

11 Next slide?

12 This is simply a serum titration showing that even
13 with this particular positive control reactivity fell off
14 at 1:64.

15 Next slide?

16 Now, the variables that we studied in a very, I
17 might say, rapid fashion, included these. We looked at fresh
18 versus frozen slides versus bulk frozen organisms which
19 were then used to prepare slides.

20 The only differences encountered here were a
21 tendency for the more even distribution of organisms with
22 the bulk frozen material, and this is why it was chosen.
23 Otherwise we encountered areas of the slide that were highly
24 fluorescent; other areas that were negative.

25 The counterstain, we obviously were able to visualize

1 increase in red counterstain as we increased Evans blue, and
2 we chose .1 percent, which I believe was also used by Walton.

3 Fixation. We compared unfixed versus 2 percent
4 formalin and saw no difference. We stayed with the fixed
5 material.

6 Buffers. We compared barbiturate and phosphate and
7 saw no difference, and we chose phosphate.

8 Protein additive. We looked with and without bovine
9 serum albumin and we saw no difference. We chose to perform
10 the test without BSA.

11 Next slide?

12 Now, this is one experiment of two or three that
13 were performed prior to initiating the study to look at
14 specificity, and these were sera just pulled from the freezer
15 and we encountered -- I won't go through these, but we
16 encountered a high rate of false positives among sera from
17 various protozoan and helminthic diseases and in this
18 particular case only two of three of culture proven cutaneous
19 leishmaniasis were positive.

20 At this juncture although we had some reservations
21 about proceeding, with the press of time we felt that we
22 should proceed, and we did go on with the testing of the
23 study sera.

24 Next slide?

25 Now, these are controls that were actually run

1 concurrently with the Ft. Bragg sera. There were 14 assays
2 in all, and these are pooled, and as you can see we encountered
3 about the same false positive rate but the difference here
4 being that many of our putatively normal sera showed
5 reactivity as well with these conditions, and most of the
6 culture applies to proven cutaneous leishmaniasis were in
7 fact positive.

8 Next slide?

9 This summarizes the actual data on the sera from
10 the study. This can be looked at in a number of ways. I have
11 chosen to do it first by the total number of reactive sera.
12 As you can see, pre-exposure there were 21 percent positive.
13 Post-exposure there were 22 percent positive. If one then
14 looks at conversion rates non-reactive to reactive, this
15 was 12 percent.

16 As a control the reciprocal, that is reactive to
17 non-reactive is listed, and it was identical.

18 Sixty-seven percent were non-reactive to begin with
19 and remained that way. Ten percent were reactive on both
20 pre- and post-exposure.

21 Now, if one takes conversion rate and as the most
22 specific, if you will statistic to look at, in the next
23 slide in looking at the culture data which you have, actually
24 you have before you, only one of the culture positive
25 individuals were converted serologically. This is 10 percent.

1 This compares with 12 percent of the whole group or 13 percent
2 of those which were either culture negative or which were
3 not cultured because there was no indication for it.

4 Based on these findings, next slide, we must
5 conclude that under the conditions that we tested these
6 sera it is not useful for screening of individuals exposed
7 in this particular time frame.

8 Any questions?

9 DR. BENENSON: I have a lot of questions. Who
10 wants questions and then discussion? Any questions? Brice?

11 DR. WALTON: I am almost going to ask for equal
12 time.

13 DR. BENENSON: Are you defending the Walton test?

14 DR. WALTON: No, actually you referred to it as
15 our procedure. I don't even recognize it. There are certain
16 basic differences. I am not being facetious, but I think
17 there is something very basic here and that is you remember
18 many people tried serologic tests for cutaneous leishmaniasis
19 before with very little success.

20 The test that we have had very good luck with is
21 based on a cell wall reaction; that is the key to the whole
22 thing, and I got the first clue when you talked about weakly
23 positive. We don't have such a thing the way we read the
24 test. We do not use 1, 2, 3, plus fluorescence which gives
25 you usually looking at cytoplasmic fluorescence, if you look

1 at cytoplasmic fluorescence you are going to have a lot of
2 false positives and cross reactivity. If you look only at
3 peripheral staining, that is cell wall reaction and you make
4 your cutoff point if 50 percent or more of organisms are
5 stained, then your reproducibility of a given serum is
6 increased tremendously, and you get rid of about 90 percent
7 of the grass(?) I think that is very basic.

8 Then you mentioned the organisms were obtained
9 from axenic cultures. I assume you are talking about
10 promastigotes which were grown and then converted into
11 ground form in insect culture media with fetal calf serum?
12 That is right? Okay.

13 We took a look at this before. Some lots of this
14 type antigen were very usable. However, I have not been
15 completely convinced that these are physiologically amasti-
16 gotes equivalent of intracellular form. They have a lot of
17 resemblances and in some cases antigenic similarity, but I
18 was never convinced that we could control this to where we
19 could call these amastigotes for this purpose.

20 So, if you do not use intracellular amastigotes
21 for antigen, you do not look at the cell wall fluorescence,
22 it is a different test.

23 Then I, also, noticed that you use a 1:16 cutoff.
24 Now, the cell wall fluorescence test is not very sensitive.
25 It is not the best serologic test, and that is for sure, and

1 one of the shortcomings is that it is not sensitive. So, you
2 will lose quite a few positives if you don't use 1:8. We
3 felt we got the bimodal curve and we can separate fairly well
4 at 1:8. So, I think that would increase your conversion
5 rate tremendously if you could go to that.

6 I, also, notice you use 2 percent formalin fixation.
7 We use 1 percent. To what extent this denatures the cell
8 wall, changes the reaction, I don't know, but this is another
9 divergence from the original procedure. Also, the storage
10 in glycerin I question. I think that you might very well
11 block some reaction sites with that.

12 Okay, I have had my equal time, but I think that that
13 in my mind is really the question. Are you looking at cell
14 wall reaction? Because I think that this is the one thing
15 right now in our very primitive state of the art that we can
16 separate from this big mozaic of antigens. We have got
17 something that is of diagnostic value.

18 DR. BENENSON: Carter?

19 COL. DIGGS: I think there were five comments
20 and questions all jotted down here. As far as the cell wall
21 fluorescence is concerned, I think that is what we are
22 looking at. I mean it is a very, I think, difficult thing
23 for you to document on paper what you are looking at for us
24 to interpret, but to the best of my knowledge, and I think,
25 Larry, you have seen both laboratories. You have seen the

1 preparations in both laboratories, that is what we are
2 looking at. You know, we are looking at Evans blue counter-
3 stain in the center of the cell with intense screen
4 fluorescence and a good positive on the outside. I think
5 that is something that we will have to talk about in more
6 detail and compare notes about that. It is a very subjective
7 thing.

8 As far as the amastigote or pseudo-amastigote
9 situation, we elected to go this route because of the data
10 which is available indicating that they are comparable and
11 Larry, do you have your data that we could refer to? Well,
12 perhaps it is not important to do that now, but this was
13 on this basis that we went that way. Whether or not there
14 is a batch dependency or not is something that obviously
15 we could not control for.

16 We simply did not have time to go the virile route
17 if the truth be known, but even if we had had the option we
18 possibly would have chosen to go this way. The 1:16 cutoff
19 versus the 1:8 cutoff, this is a very difficult thing to
20 decide on when you are setting up a test in a different
21 laboratory. You may be entirely right that 1:8 is better
22 than 1:16.

23 The 2 percent formalin was an error on our part.
24 I apologize. The storage in glycerin, as I said, was done
25 after some frustration with dealing with slides that were

1 very non-homogenous and really we found it difficult,
2 impossible, really, to grade a slide because it might be
3 3+ in one place and plus or minus in another, and finally
4 you may be entirely right that all of these things may be
5 crucial. I think we should compare notes in great detail.

6 DR. BENENSON: Dr. Farah?

7 DR. FARAH: We have had some experience with the
8 immunofluorescent test for Leishmania. We agree that we are
9 certainly looking at a cell membrane fluorescence. We have
10 tried to look at the antibodies that are responsible for
11 this reaction, and in a variety of ways that we have tried
12 to look at this we were able actually to demonstrate that
13 there are, at the most, three antigen antibody systems and
14 the three antigen antibody reactions seem to be similar,
15 whether we use, let us say, antibodies produced by
16 immunization with whole parasite, promastigotes or whether
17 the antibody comes from individuals who have had an actual
18 infection, let us say the mouse for instance or the human,
19 and the antigen antibody systems seem to be the same
20 regardless of whether you are using as your immunogen an
21 amastigote or a promastigote.

22 At least with the system that we have used we have
23 been able to show these three antibodies, and so the question
24 is whether it is not possible for one to use immunofluorescence
25 using promastigotes which may be easier to obtain and which

1 could very easily be stored in a frozen fashion and on slides
2 already prepared, fixed with alcohol and used for that
3 purpose.

4 We have not, as I said, been able to demonstrate
5 much difference between using promastigotes and amastigotes.

6 The second thing is that at least in our experience,
7 and now, I come from an endemic area with leishmaniasis, and
8 therefore our cutoff point in normal sera was 1 in 40,
9 anything above 1 in 40 and now whether we used rabbit sera
10 as normal rabbit sera as control or human sera as control,
11 we were unable to differentiate the positives below 1 in 40,
12 and our cutoff point, therefore was at 1 in 40.

13 I wonder if you compared promastigotes with
14 amastigotes in this test, and we find actually a good
15 correlation between positive cultures or smears or positive
16 histology and the immunofluorescent test done this way.

17 DR. BENENSON: Dr. Walton?

18 DR. WALTON: Just to clarify one thing, are you
19 talking about human sera, diagnostic sera or are you talking
20 about experimentally induced antigen by injection?

21 DR. FARAH: Both actually.

22 DR. BENENSON: Dr. Neva?

23 DR. NEVA: There was one feature of Colonel Diggs
24 otherwise pessimistic report that I found very refreshing,
25 and that was the person reading the slides did not know what

1 they were. I think this is absolutely essential if you
2 are going to have any kind of objectivity to a serologic
3 test, and I think you just have to call them as they are,
4 and this is what you get with this kind of a system. I don't
5 think this is always adhered to, and it is so easy to be
6 subjective when you have a patient, and you know you have
7 got a lesion or you have got a positive culture, and you take
8 his serum and you do an IFA test; you see different things
9 in that than when it comes from a tube with a label on it
10 or a number, and you don't have any idea what it is and when
11 you test a lot of other sera, too, from patients with other
12 kinds of diseases.

13 DR. BENENSON: Dr. Marsden?

14 DR. MARSDEN: Professor Couver who does a
15 fluorescent antibody test for us in Brazilia has recently
16 in the last six months switched over to the amastigotes.
17 We cannot grow amastigotes. We don't have a tissue culture
18 system. We get them out of a hamster node, but they make
19 quite good antigen and clean them up, and all our titers
20 have gone up one tube, you know. It has improved our
21 fluorescent antibody test, undoubtedly using the amastigotes.

22 DR. BENENSON: Carter, Dr. Farah asked whether you
23 had compared the promastigote and the amastigote, and I did
24 not give you a chance to answer his question.

25 COL. DIGGS: No, we have not. I can relate very

1 briefly the little that I know about this. Ducksberry and
2 Sodoon tried promastigotes some years ago and concluded that
3 although there was some reactivity that they did not provide
4 a suitable antigen for protein screening or clinical use.

5 I think I am correct in saying that there has been
6 experience of Dr. Walton that promastigotes are not suitable.
7 That is about as far as I can go at the moment. These people
8 are better qualified than I am.

9 DR. BENENSON: Dr. Walton?

10 DR. WALTON: You do see reaction using promastigote
11 antigen. There is no doubt about that. In certain patients
12 you get quite good reactions, but the problem is we could
13 not repeat our titers on the same serum in the same patient
14 with the degree of reliability that we get with amastigotes.
15 For us that was the key, and we, also, had much more trouble
16 with false positives with promastigotes.

17 COL. DIGGS: I would be curious, Dr. Farah, your
18 patients are what type of clinical manifestations?

19 DR. FARAH: Cutaneous leishmaniasis.

20 COL. DIGGS: Cutaneous, oriental and tropical, and
21 you find that you can use promastigotes as the antigen
22 in immunofluorescence?

23 DR. FARAH: Yes.

24 DR. BENENSON: Major Hendricks?

25 MAJ. HENDRICKS: One of the points that Carter

1 pointed out at the end in the final concluding statement was
2 it does not account for false positives, but these patients
3 were four to six weeks post return from the infected area
4 with only two and one-half week exposure. So, it was very
5 early infection when the sera was drawn. That may account
6 for very few conversions.

7 DR. BENENSON: One out of five were positive before
8 they ever left. That is what the problem would seem to be.
9 I mean there was not much room for conversion.

10 MAJ. HENDRICKS: I tried to qualify that by saying
11 that that did not account for the false positives or whatever,
12 but the conversions that may account for the fact that very
13 few had conversions.

14 DR. BENENSON: Interestingly in the 10 sera, three
15 of them were read as doubtful, I guess. They are a plus in
16 parentheses in the pre-sera, and they cleared up by going down
17 there and developing an infection. So, there is a problem
18 there.

19 Any other discussion on the serology?

20 COL. DIGGS: I would like to ask one more question.
21 Dr. Marsden, you switched from what to what?

22 DR. MARSDEN: We switched from promastigote to
23 amastigote, but the amastigote did not come out of culture.
24 It came out of the hamster node.

25 COL. DIGGS: Oh, I see.

1 DR. BENENSON: Any other questions or discussion?

2 Thank you very much, Carter.

3 Major Hendricks, you are listed for 20 minutes.

4 We have been riding a little bit late somewhat deliberately
5 on my part because I want to bring these things out as we
6 go. Duane, you list our coffee break to begin in 10 minutes.
7 Is there anything critical on that?

8 LTC. ERICKSON: No, there isn't. I have a
9 discussion period there which --

10 DR. BENENSON: No, we have been using the
11 discussion period deliberately.

12 LTC. ERICKSON: So, we may come out with 15 minutes
13 or something.

14 DR. BENENSON: All right. So, we go ahead with
15 the next presentation. All right. Please, Major Hendricks
16 on the culture techniques?

17 MAJ. HENDRICKS: To begin this presentation I would
18 like to start off with the most basic question, and that is
19 why do we use culture techniques as a means of diagnosis
20 with cutaneous leishmaniasis. The reason actually is fairly
21 simple and results from a process of elimination of commonly
22 used diagnostic techniques for other diseases.

23 The techniques used with many diseases are just not
24 as obvious with leishmaniasis or the state of the art just
25 has not yet reached that point of development where they

1 can sufficiently be relied upon with cutaneous leishmaniasis.

2 Clinically this infection must be distinguished
3 from tropical ulcer, eczema, impetigo, sporotrichosis, South
4 American blastomycosis, syphilis, leprosy and tuberculosis
5 of the skin to name but a few.

6 Histological examination of biopsied specimens
7 of cutaneous lesions from many parts of the New World have
8 a low diagnostic sensitivity as few parasites are present.
9 This point was previously mentioned by Major Takafuji in
10 his discussion of the Ft. Bragg investigation in which none
11 of the patients shown to be culture positive have had
12 Leishmania organisms demonstrated on biopsy examination,
13 histological examination of biopsied material. Very few
14 laboratories, civilian or military offer serological test
15 for the detection of this disease. The data presented by
16 Colonel Diggs seemed to indicate that the techniques used in
17 this particular study are not sensitive enough to detect
18 infection as early as two months post-exposure.

19 Skin test antigen used in many parts of the world
20 to assist in a diagnosis of this disease is not available
21 in the United States. Evidence from the literature and
22 from personal experiences indicates that neither serological
23 testing nor skin testing is of little or any use to determine
24 if a patient has successfully been treated or as a diagnostic
25 tool if future suspect lesions occur, as both remain positive

1 for years in the majority of cases.

2 Because of the limitations of the successful
3 diagnostic techniques offered for this disease, many of the
4 therapeutic treatment studies of the past and many clinics
5 today still rely on visual recognition of re-epithelialization
6 of the lesion site as evidence of a successful treatment
7 of cutaneous leishmaniasis.

8 This latter point is demonstrated in the next
9 series of slides. I want to demonstrate or to show you
10 that a patient in which it was based on appearance of his
11 lesion post one treatment with Pentostam this is the way
12 the patient appeared at the clinic. Positive culture in
13 Schneider's insect media confirmed the diagnosis of
14 cutaneous leishmaniasis.

15 Next slide, please?

16 This was the lesion site one week after receiving
17 a 10-day therapeutic course of Pentostam. Based purely on
18 re-epithelialization of the site the patient was discharged
19 from the hospital, sent back to duty. Seven days later the
20 culture became positive, and the patient was brought back,
21 rehospitalized and another course of Pentostam administered,
22 10 days again.

23 Next slide, please?

24 This was taken three months after the last course
25 of Pentostam, and repeated negative cultures, the point being

1 that just on the basis of the fact that re-epithelialization
2 of the original lesion site has occurred is not a sufficient
3 diagnostic tool to say that you have cured the patient.

4 Slide off, please?

5 Faced with these circumstances and data, we feel
6 that when the disease is suspected, cutaneous leishmaniasis
7 the most reliable preferred method of diagnosis is the
8 direct culture of the organisms.

9 In the drug treatment protocol currently under
10 evaluation at WRAIR and RAMSEY that will be explained in
11 more detail by Colonel Canfield, no patient is judged a
12 successful treatment until at least two successive negative
13 cultures have been demonstrated.

14 The life cycle of all known species of the
15 Leishmania includes two morphological forms of the parasite.

16 Slide, please?

17 The flagellated form found in the sandfly vector,
18 called the promastigote and the ovoid or round form found
19 intracellular in the vertebrate host, amastigote.

20 SPEAKER: We need a professional projectionist,
21 obviously.

22 MAJ. HENDRICKS: Somewhere we went by a promastigote
23 and an amastigote there.

24 All culture techniques used to detect the parasite
25 involve removal of tissue from the vertebrate host, placing it

1 in a media in which parasites if present will convert to
2 the promastigote form and hopefully multiply at ambient
3 temperatures, 24 to 26 degrees centigrade.

4 Slide off.

5 Thus the usefulness of most media is related to
6 its ability to induce the transformation of the parasite
7 from the tissue inhabiting amastigote form to the flagellated
8 modal promastigote and the subsequent multiplication of the
9 parasite to sufficient numbers to be detected.

10 The diagnostic media described today use basic
11 blood agar media and varying amounts of blood from a variety
12 of sources to provide a media originally described as triple
13 N media.

14 Other diagnostic media described include Manseur's
15 and Tanaby's, both consisting of blood hemolysates and
16 saline combinations and are used primarily for detection
17 or work with visceral leishmaniasis.

18 Most of the military laboratories have such a low
19 demand for leishmaniasis diagnostic media that few, if any
20 keep it in stock as it soon is outdated or no longer of any
21 value and must be discarded.

22 We have recently found that Schneider's insect
23 media plus 30 percent fetal calf sera can be successfully
24 kept at least two years in the lyophilized form at ambient
25 temperatures, and as will be shown later this media is quite

1 useful as a diagnostic media.

2 The method of acquiring a specimen to be cultured
3 can be quite important. If proper care is not taken, many
4 cultures will become contaminated with either bacteria or
5 fungi or often both from the secondary infections found at
6 the site of the lesion and usually will rapidly multiply in
7 the culture media and completely overgrow to the point that
8 the culture system itself is of no diagnostic value.

9 A technique that Dr. Walton used successfully in
10 Panama and which we use to our satisfaction is that of
11 needle aspirations of the lesion.

12 After the lesion site has been thoroughly scrubbed
13 with soap and water and then followed with an alcohol wash
14 and allowed to air dry, slide, please, a small amount of
15 sterile saline is injected into the raised edge.

16 Let us go on a couple more, Duane, I think there
17 is a picture of a lesion and a needle aspiration technique.

18 This is a line drawing of the procedure, but the
19 idea is to inject a small amount of saline with a small
20 syringe, usually a disposable tuberculin syringe on the
21 outer margin or intact margin of the skin, inject a small
22 amount of sterile injectable saline in the site.

23 Next slide?

24 This is actually using it on the lesion, rotating,
25 injecting a small amount of saline, rotating the needle

1 around several complete turns, letting it act as a biopsy
2 punch, if you will, putting back pressure on the plunger
3 and then slowly removing both the fluid, the saline that you
4 have injected and any other tissue or patient's sera and blood
5 that you can pull back into the plunger.

6 Next slide, please?

7 This is the Schneider's insect media with 30 percent
8 fetal calf sera. It is quite clear, as you can see. So, it
9 makes it easy to observe any movement in it if you use a
10 inverted phase microscope.

11 Once you have removed the droplets of fluid from
12 the vision site, then place them directly into the culture
13 material and we usually use or in most cases we use a small
14 amount of penicillin and streptomycin as a backup just
15 in case some contamination or some bacteria did manage to
16 make it into the culture process.

17 Slide off.

18 In 1973, while the Army still had a research
19 unit in the Canal Zone it was found that several commercially
20 available media used for the cultivation of insect cells
21 in vitro were also very effective media for the cultivation
22 of a variety of species in geographic isolates of
23 Leishmania.

24 Subsequent investigations using these media
25 demonstrated that they not only were effective for the growth

1 of large numbers of organisms but that they were, also,
2 quite sensitive for the cultivation of the parasite from
3 suspect lesions.

4 Slide, please?

5 This slide represents data accumulated from some
6 of the earlier cultivation work and is shown to demonstrate
7 the growth characteristics of the particular medias that
8 we are discussing.

9 The dotted line represents Grace's insect medium
10 and 30 percent volume per volume of fetal calf sera. The
11 solid line represents Schneider's Drosophila medium plus
12 30 percent fetal calf serum and the dashed line represents
13 mammalian tissue culture media 199, plus 30 percent fetal
14 calf sera.

15 Schneider's medium originally developed by
16 Dr. Imogene Schneider of WRAIR was based on an analysis of
17 insect hemolymph and was used by her to cultivate Drosophila,
18 fruitfly cells in vitro, was selected as the medium of choice
19 for the bulk of our work, as cultures remained viable for
20 up to 30 days with this particular media.

21 Recently we have undertaken a comparative study
22 to determine the sensitivity of various media in the detection
23 of suspect cutaneous Leishmania patients.

24 Separate needle aspirates taken from suspect
25 cutaneous lesions have been added to both triple N medium

1 made with 15 percent defibrinated rabbits' blood and blood
2 agar base and Schneider's insect medium plus 30 percent
3 fetal calf sera.

4 Today 99 such comparative cultures or lesions have
5 been cultured with both media. These include suspect cases
6 not previously seen, proven cases of cutaneous leishmaniasis
7 and patients cultured after drug therapy.

8 To date 37 Schneider's culture media have become
9 positive compared to seven triple N cultures. The shortest
10 culture time for a positive culture in Schneider's media
11 was 18 hours and this is all with human data patients; working
12 with a laboratory infected animal we were able to get positive
13 cultures in as short as six hours using the Schneider's
14 insect media, actually had conversion from the amastigote
15 to the flagellated promastigote form and could see the
16 promastigotes thrashing around in the media.

17 The shortest time for a triple N culture with
18 human patients to become positive was three days. The
19 average time for Schneider's media to become positive of
20 the 37 cultures that I mentioned was 7.1 days, while the
21 average for the seven triple N cultures was 14.5 days.

22 We are continuing with this study. It was
23 originally set up as a three-way comparison. We did Tanaby's
24 media which has been described as being quite sensitive
25 for the detection and growth of visceral leishmaniasis. As

1 I said, we have done 99 cultures of this three-way comparison
2 to date. We have yet to get a positive culture in Tanaby's
3 medium. We have used several recipes. We have asked
4 Dr. Bill Hanson at the University of Georgia Vet School to
5 send us some of his Tanaby's. He uses it as a detection
6 method and growth for visceral leishmaniasis, Leishmania
7 donovani Khartoum strain to be specific, but we have as of
8 yet to acquire a positive culture using Tanaby's media on
9 needle aspirate technique on patients from Panama and from
10 Brazil.

11 Thank you.

12 DR. BENENSON: Thank you. Dr. Neva?

13 DR. NEVA: I would like to ask a question and then
14 make a possible suggestion. In your comparative study did
15 you start with the same inoculum? You referred to repeated
16 needle aspirates in order to inoculate the different
17 culture. One of the problems with this type of a study is
18 you should start with the same inoculum. That is why if you
19 took a biopsy, ground it up or otherwise pooled your needle
20 aspirates and then from the pooled material inoculated
21 equal volumes to the different cultures, then I think you
22 would have a better comparison.

23 I don't doubt that this medium probably is superior
24 to others, but I think that is really the only way you can
25 make a decent comparison.

1 MAJ. HENDRICKS: We had 30 patients, and we had
2 the dermatology clinic for one afternoon. We did not pool
3 them, but the person that was handing forward the culture
4 flasks stood behind me as I made the aspirates, and I did
5 not know what culture media he was handing to me. I just
6 made three aspirates for each media around the suspect lesion.
7 When he would hand me a media tube, I would inoculate the
8 inoculum into it.

9 DR. NEVA: The trouble with the aspirate technique
10 is you are getting such a tiny amount of material and that
11 is why I, personally, would prefer using a punch biopsy and
12 then --

13 MAJ. HENDRICKS: Interestingly enough, we punch
14 biopsied 13 of these 30 patients, and we picked the ones
15 that we were most suspicious of to punch biopsy. We took half
16 of those punch biopsies and ground them and added them to
17 media as well, and only three of them became positive.

18 DR. BENENSON: Dr. Walton?

19 DR. WALTON: I am pleased that you had the chance
20 to go ahead and do some more work with this liquid media
21 and that your findings that you can keep it on the shelf for
22 a couple of years. To have something when you need it, I
23 think is very important. I had one question first and then
24 a comment, if I may.

25 In your comparative study I missed what temperature

1 was the most effective in incubation in a liquid medium.

2 Did you say 33 or --

3 MAJ. HENDRICKS: What temperature did we keep the
4 cultures at?

5 DR. WALTON: Yes.

6 MAJ. HENDRICKS: We kept them at 24.5, as close
7 as we could get it with the incubators we had.

8 DR. WALTON: The other comment I would like to make
9 is very basic, and it has to do with a subject we have
10 alluded to before, and that is the differences in Leishmania.
11 The taxonomy, the classification of leishmaniasis is in a
12 very pronounced state of flux right now, but one of the
13 widely accepted differences in leishmanial strains is based
14 on biological characteristics. One of these characteristics
15 is how well it grows in triple N type media, and of the
16 braziliensis group generally these do not culture very well.
17 L. braziliensis pansmensis is one of the exceptions. It is
18 the only one in, well, one of about two in the braziliensis
19 group that can be successfully cultured. Braziliensis
20 braziliensis the form that is the most hazardous from the
21 standpoint of later development of mucocutaneous disease
22 is very difficult to culture and we noticed this very early
23 on in our work in Panama, as a matter of fact, and we
24 alluded to it in the Camolar study that we were unsuccessful
25 in trying to monitor the success of treatment because we

1 couldn't culture the organism in these patients at all. We
2 found them in biopsies and smears, and the interesting thing
3 in Panama and the Canal Zone is that of the two hundred and
4 some patients, military patients that we looked at by culture
5 and all these techniques, the last time I looked at the data,
6 the great majority came from the Pacific side of the Isthmus,
7 the empire range. In this group we had 100 percent success
8 in getting blood agar cultures. In a much smaller number of
9 patients from the Atlantic side in the Ft. Sherman area we
10 had repeated cases of cutaneous lesions where we could
11 demonstrate the lesions in which we could not culture. So,
12 culture is not the definitive answer in making the diagnosis
13 when you are dealing with braziliensis braziliensis, and
14 this is something that you should always be aware of.

15 DR. BENENSON: Dr. Marsden?

16 DR. MARSDEN: Yes, I would just like to add to that,
17 you know, that in our area of the Central Plateau of Brazil
18 where there is probably the worst leishmaniasis in the
19 world mucocutaneous leishmaniasis, we are not using your
20 medium which I am sure would be a great help to us, but we
21 are just using the routine NNN, but in fact in our work in
22 the area we have dropped using culture in the sense that we
23 have had so much contamination and such poor results. We
24 are now doing smears from multiple punch biopsies and
25 inoculation of the hamster nodes is our routine. We seem to

1 have more success with the hamster nodes. And of course, even
2 in the hamster nodes, our strains grow extremely poorly, and
3 it is impractical because you have to give the hamster six
4 months a year, you know, to get anything. It would be very
5 interesting, actually, to compare the development that you
6 are studying with the hamster and the success rate in the
7 hamster because many field workers are really using the
8 hamster because hamster cleans things up so nicely in the
9 nodes and the cultures in primitive field conditions that
10 are constantly unsatisfactory.

11 MAJ. HENDRICKS: We feel that the lyophilized form
12 of this may be very useful for field work because you don't
13 need to reconstitute until you actually find someone you
14 think that has a lesion and you can carry it around at
15 ambient temperature, in a clothing pocket or something.

16 Concerning something that Dr. Walton mentioned,
17 we have cultured positive -- I would not attempt to put a
18 taxonomic name on it, but these were patients from Manaus,
19 Brazil at the Brazilian General Warfare School. We have
20 cultured four out of the four that have attended the school
21 that we have been able to see had lesions, and we have
22 cultured all four of them positive with this insect media.

23 There have been three or four cases out of this
24 last group of 20 that Maj. Takafuji mentioned as coming from
25 Ft. Bragg within the last two years in which we found a

1 considerable number of amastigotes at the site of the lesion
2 and had tremendous difficulty. In fact, we have not yet
3 successfully continued to cultivate culture of any of these
4 particular strains.

5 You can see occasional promastigotes. In other
6 words, the amastigote is converting to promastigote, and you
7 do see movement in the culture media when you looked at it
8 with phase microscopy, but we have not yet been able to get
9 them to continue growth, and we have tried temperature
10 variations. We have tried different concentrations of media.
11 We have added whole blood. We have added human B type blood,
12 and we have not yet had any success.

13 Now, there is something very similar to this. In
14 fact, Dr. Peters in Liverpool who uses the DNA buoyancy
15 isoenzyme test received some of this material that was
16 described from very similar type cases in Northern Brazil,
17 and Dr. Peters has called it Leishmania species. He has not
18 seen fit to put a taxonomic name on it yet, either, and
19 usually they are quite difficult to cure. One of the patients
20 we will be talking about later that is a three time treatment
21 failure with Pentostam and received over 500 micrograms of
22 Amphotericin was one of these particular cases.

23 DR. MARSDEN: Where was he from, that patient?

24 MAJ. HENDRICKS: He was from Panama, sir.

25 DR. BENENSON: Dr. Neva?

1 DR. NEVA: Two more comments, one of them, it
2 is apparent that you are aware of this when you use two
3 different strengths of blood, but certainly it is known that
4 if you use, say, 5 or 10 percent rabbit blood in the classical
5 triple N medium you may not have as good success as if you
6 used 20 or 30. We actually use 30 percent for our more
7 enriched medium. Whether that would make any difference from
8 your 15 percent --

9 MAJ. HENDRICKS: We have used 50 percent defibrinated
10 rabbits' blood, and we have used 15 percent outdated human B
11 blood.

12 DR. NEVA: The other comment I wish to make is
13 something that I think Brice could comment on, and he
14 certainly, I think, commented on this in the literature, and
15 that is the persistence of organisms in a lesion that is
16 otherwise healing may not necessarily be cause for concern
17 that this is going to reactivate. We have noticed in several
18 patients whom we have seen where you treat them, the lesion
19 is epithelializing where you may or may not be able to feel
20 little nodules within this area and on several times we have
21 gotten positive cultures from these, and we have just watched
22 the patient and not given any more courses of therapy and
23 they have eventually apparently healed so that mere persistence
24 of organisms does not necessarily mean that they are going to
25 break down and give clinical activity.

1 MAJ. HENDRICKS: Along those same lines right now,
2 sir, we have one that we have been watching for about
3 18 months. They have closed. They have reopened. They
4 have closed. He has had three courses of Pentostam and one
5 course of Amphotericin B and he still has open lesions that
6 are culture positive.

7 DR. BENENSON: I think what you are saying, Frank,
8 is there is a difference between Leishmania infection and
9 leishmanial disease, and what we are trying to do ordinarily
10 is cure the disease, hopefully, the infection, too, but
11 we judge by the disease.

12 Any other questions? Dr. Marsden?

13 DR. MARSDEN: Such observations would always relate
14 to the length of follow-up. For instance, we had a patient
15 recently in Brazilia whose cutaneous lesions cured one and
16 one-half months after therapy. Twenty months later he came
17 back with the nodes full of granuloma. So, the duration of
18 follow-up is very important. I think it unlikely that patient
19 was reinfected.

20 DR. BENENSON: Dr. Walton?

21 DR. WALTON: Several years ago we had the opportunity
22 to see some patients who were living in La Paz, Bolivia, at
23 some 13,000 feet above sealevel where we were very sure there
24 was no leishmanial transmission going on. There had never
25 been any cases, and we encountered there four patients who had

1 previous exposure in the endemic areas in lower altitudes
2 in Bolivia, and these patients, all four had espundia, that
3 is mucocutaneous manifestations, and if I remember right one
4 of them had 11 years, one 13 years, one 18 years, one 24 years
5 with absolutely no manifestations, no indications that they
6 were infected, and then evidently due to immunodepression
7 from other causes one was pulmonary TB, one was senile
8 debilitation, and one malnutrition they suddenly broke down
9 into a fulminating espundia. So, quite possibly the military
10 can take care of the case and leave the problem for the
11 Veterans Administration some 18 to 20 years later.

12 DR. BENENSON: Maj. Hendricks?

13 MAJ. HENDRICKS: One other point, sir. This
14 particular patient that I mentioned as a three-time treatment
15 failure with Pentostam and also after a course of 500 milligrams
16 of Amphotericin B, during his second course of Pentostam he
17 was on 10-day convalescence back in North Carolina. This
18 was during the wintertime. When he returned his original
19 lesions were a particular case because he was a red herring
20 for a long time. He had abraded himself on the right calf
21 while on a night compass march under water. His leg was
22 abraded under water by either coral or concrete or something.
23 He was not sure which, and he had a small abrasion burn
24 there from repelling on his right wrist from the helicopters,
25 and since these were all traumatic injuries he was pushed

1 aside for a long time and was not seen.

2 After some six or eight months of lesions
3 persisting he did make it to the dermatology clinic and
4 eventually we did culture him, but between his second and
5 third course of treatment he cut his left calf with a briar
6 while he was on convalescent leave back in North Carolina,
7 and when he returned the top of that abraded mark had
8 enlarged considerably. We cultured it, and it was then
9 positive, also for promastigotes, within a 10-day period of
10 time.

11 DR. BENENSON: Dr. Marsden?

12 DR. MARSDEN: Yes, I just want to interpolate.

13 I presume that we are going to discuss this unusual patient
14 you keep throwing out, indeed, but I think we ought to refer
15 to him as a patient who did not have a therapeutic course
16 of Amphotericin B. I do not regard 500 milligrams as an
17 adequate trial of Amphotericin B. So, I think Pentostam and
18 Amphotericin B was tried.

19 MAJ. HENDRICKS: He did have what FDA allows us to
20 give of Pentostam though, sir.

21 DR. BENENSON: I think we have gone far enough
22 without coffee, and Duane would you rediscrbe our itinerary?

23 Colonel Erickson raises a question of a
24 physiological break for about five minutes and then let it
25 ride that way instead of taking a coffee break. I would

1 prefer that unless someone wants to register objections.
2 I think we have been dealing a little more deeply than the
3 schedule called for.

4 Let us take a 5-minute break. Where are the
5 physiological refreshment areas?

6 (Brief recess.)

7 DR. BENENSON: Let us go back to work. Next on the
8 program is Colonel Canfield who will describe for us the
9 therapeutic studies that I believe are currently underway.

10 Colonel Canfield?

11 COL. CANFIELD: Thank you.

12 First slide, please?

13 The first slide just orients us as we have already
14 been hearing about this morning and shows the number of cases
15 over the years. It goes back to 1953. Nearly all of these
16 patients were seen and diagnosed in Panama at the Army Medical
17 Research Unit there.

18 As you can see there was an increase in the early
19 1970's and a later increase more recently about which we have
20 been hearing this morning.

21 In 1973, Dr. Walton summarized the results of
22 treatment studies undertaken on many of these patients, and
23 the results are shown on the next two slides.

24 Rather than reading this off, I will just pause
25 here momentarily so you can study the slide. This morning

1 we are going to focus on --

2 DR. BENENSON: I think it would be better if you
3 do read it because we cannot see it back here.

4 COL. CANFIELD: Let us move the table.

5 DR. BENENSON: Now, we can read.

6 COL. CANFIELD: I would like to concentrate on the
7 two pentavalent antimonials, Glucantime which is a glumine(?)
8 antimonate and Pentostam sodium stibogluconate, and will
9 refer only to the 10-day course.

10 Initial treatment with a 20-day course of
11 Glucantime gave merely an 80 percent cure rate and a 10-day
12 course of Pentostam gave a 70 percent cure rate. These
13 differences are not statistically different.

14 The next slide shows second and third course
15 treatment. There is a small number of cases. The patients
16 were not crossed over, and they were not done in a randomized
17 style. This was a retrospective accumulation of data, and
18 so we cannot draw any conclusions about the relative
19 efficacy of drugs.

20 There appears to be a slight superiority of the
21 Glucantime but we cannot draw any firm conclusions on this.
22 I will compare the relative amounts of antimony in the two
23 regimens in a minute.

24 As patients with leishmaniasis began to arrive
25 CONUS during the last year following exposure at the jungle

1 warefare school, they were treated with Pentostam under the
2 CDC IND. The results of these treatments are shown on the
3 next slide.

4 Treatment failure was determined by culture. In
5 three instances, although clinically improved, followup
6 cultures are still being observed for possible growth and
7 are designated on the slide by a question mark.

8 As you can see here, the cure rate by culture
9 criteria was only 20 percent for the first course of
10 treatment with Pentostam.

11 A second course was somewhat more successful, but
12 there are two individuals who have not been cured with
13 three courses, as well as Amphotericin B.

14 Two individuals were treated with Glucantime under
15 special approval from the Surgeon General. However, we do
16 not plan to do Pentostam, Glucantime comparative studies
17 for two reasons. First, Glucantime is very difficult for
18 us to obtain, and second, we have been unable to secure the
19 preclinical and toxicological data necessary to support a
20 clinical trial under FDA regulations.

21 The next slide shows the comparative quantities of
22 antimony in the two treatment regimens. The manufacturer's
23 recommendations are shown on the top of the slide.

24 As you can see, the dose of Glucantime is almost
25 impractical, 20 cc's daily. Both Dr. Walton and we have used

1 only 5 cc's daily.

2 Despite this reduction in the daily dose, the
3 quantity of pentavalent antimony still exceeds that recommended
4 for a single course of Pentostam because of the longer duration
5 of treatment.

6 You can take the slide off for a moment.

7 Let me recapitulate then our position of about
8 six months ago. We anticipated one or two hundred cases of
9 cutaneous leishmaniasis based on our preliminary guesstimates
10 and although Glucantime seemed to be slightly superior, it
11 was not proven to be superior. The drug was not available,
12 and the slight superiority was thought at least to be
13 simply a reflection of the dose of antimony. We, therefore,
14 chose to concentrate on Pentostam.

15 Early results using the recommended treatment
16 regimen though had been discouraging. We considered
17 increasing the dose or duration of treatment, but could find
18 no published data to support such an increase, and the
19 preclinical data were of such quality and quantity as to make
20 this an unsupportable course of action.

21 We did, however, have one additional piece of
22 data that guided our actions.

23 In 1947, Otto had measured antimony concentrations
24 after single IV doses 3 and 6 milligrams per kilogram of
25 Astibanose(?) which is a diethyl aminoethyl salt of antimony

1 glyconate. The data were good enough for us to perform
2 kinetic analysis using non-linear regression as shown on the
3 next slide.

4 The data fit a two-compartment open model or a
5 biexponential equation. The mean volume of distribution was
6 about 13.9 liters. The distribution half time was 30 minutes,
7 and the elimination half time was about 4 hours. As you can
8 see, significant blood levels persist for relatively short
9 portion of the 24 hours between doses and reach zero by the
10 time the next dose is to be given.

11 Although therapeutic effect may be a function of
12 peak drug level, it more likely is a function of the time/dose
13 relationship. We, therefore, decided to modify the delivery
14 of the drug so as to increase the time/dose relationship
15 without increasing the total amount of drug delivered.

16 With the assistance of the CDC and Burroughs-Wellcome
17 we prepared our own IND which was subsequently approved by the
18 FDA. The clinical protocol was a cooperative venture between
19 the Division of Medicinal Chemistry of WRAIR and the
20 Infectious Disease Service at the hospital, at Walter Reed.

21 Arrangements were made for all Army personnel to
22 be sent to Walter Reed for treatment.

23 The next slide shows the proposed treatment
24 regimens for the clinical protocol. Patients are offered
25 treatment according to the standard or manufacturer's

1 recommendation or allowed to volunteer for the investigational
2 protocol. If they volunteer they are randomly assigned to
3 Treatment A, B or C. A is again the standard regimen with
4 drug being administered every 24 hours. B is the same total
5 amount of drug delivered by constant infusion following a
6 loading dose, and C is a compromise between the two with
7 one-third of the dose being administered at 8-hour intervals
8 following a loading dose.

9 We did not choose these as recommended or practical
10 methods of drug administration. Rather they were chosen to
11 test for the sustained levels, even if lower might be
12 superior to intermittent levels. A revised formulation
13 could be developed if sustained levels were found to be
14 superior. It might be, for example, that the old preparation
15 containing, the old oily preparation containing 54 milligrams
16 per milliliter of antimony might be a better way to produce
17 and continue effective blood levels.

18 The three regimens are illustrated on the next
19 slide. This was drawn by hand and is slightly out of scale.
20 The peak concentration with treatment A would be equivalent
21 to about 43 micrograms per ml, and the sustained concentration
22 with treatment B should be about 3.8 micrograms per ml. Again,
23 though, the area under the three curves is equal because the
24 total dose is the same.

25 During the proposed study blood is being drawn for

1 analysis of antimony concentrations in order to test the
2 accuracy of this model.

3 The final slide shows the design of the study.
4 As we previously mentioned, patients who volunteer for the
5 investigational portion are randomly assigned to treatment
6 A, B, or C. Failures from any of the three groups would be
7 randomly assigned again to either of the alternative groups.
8 Failure from the second course would be assigned to the
9 remaining regimen.

10 This design ensures that the largest number of
11 individuals will receive the best or most efficacious
12 regimen. Thus far, eight patients have been treated under
13 this protocol, and it is too soon to make any comments on
14 the results. Cultures all thus far have been negative.

15 Slide off.

16 There is one final point on the protocol.
17 Major Hendricks has adapted the culture system in order to
18 quantify the response of individual patient's organisms to
19 Pentostam. It will be, therefore, possible to estimate the
20 relationship between blood levels achieved and minimum
21 inhibitory concentrations in vitro.

22 For example, one study has been done that showed
23 the minimum inhibitory concentration of one of the organisms
24 to be about 2 micrograms per ml of Pentostam antimony,
25 expressed as antimony.

1 It will also be possible to assess if treatment
2 failure with a single course of therapy produces a variant
3 organism with decreased susceptibility to Pentostam.

4 DR. BENENSON: Thank you. Questions? Dr. Schultz?

5 DR. SCHULTZ: How did you give the sustained levels
6 of antimony in Protocol B?

7 COL. CANFIELD: Continuous intravenous infusion.

8 DR. SCHULTZ: For 10 days.

9 DR. BENENSON: How long each day each infusion?

10 COL. CANFIELD: All day.

11 DR. BENENSON: All day long.

12 COL. CANFIELD: All day and all night.

13 DR. BENENSON: How many veins?

14 COL. CANFIELD: It has not been a problem.

15 DR. BENENSON: You did not change the insert?

16 COL. CANFIELD: Every 48 hours.

17 DR. BENENSON: Okay, 48 hours at one vein site.

18 DR. MARSDEN: Do you do transaminases, urea before
19 and ECG, do you follow these?

20 COL. CANFIELD: Yes, that is the usual laboratory
21 tests. They have daily electrocardiograms, usual standard
22 kind of checking for any investigational drug.

23 DR. BENENSON: Dr. Neva?

24 DR. NEVA: I would like to make a plea again, if
25 you are going to be evaluating your results of these three

1 treatment schedules to pool your needle aspirates when you
2 are culturing so that then, well, you are going to be
3 culturing in only one kind of medium, I guess.

4 COL. CANFIELD: Yes, I think that that is a valid
5 comment to test two different culture methods, but in terms
6 of --

7 DR. NEVA: Okay.

8 DR. BENENSON: My question is what is failure?
9 How do you define treatment failure?

10 COL. CANFIELD: We have arbitrarily chosen to
11 define it as a culture positivity.

12 DR. BENENSON: Taken when?

13 COL. CANFIELD: Taken, there are either two or
14 three cultures, two cultures after a course of treatment.
15 The first one is about 48 hours and the second one is a week
16 or two afterwards.

17 DR. BENENSON: And a failure is if you find
18 organisms on the culture a week or two after termination
19 of treatment?

20 COL. CANFIELD: Yes.

21 DR. BENENSON: Dr. Marsden?

22 DR. MARSDEN: I am sorry. I am still about fazed
23 by it, but these treatment schedules, what is the interval
24 between them? Supposing he fails on the first one, when will
25 you elect to start the second?

1 COL. CANFIELD: With demonstration of culture
2 positivity.

3 DR. MARSDEN: Which could be a variable time or
4 is --

5 COL. CANFIELD: That is true. It could be.

6 DR. BENENSON: Major Hendricks, did you have a
7 question?

8 MAJ. HENDRICKS: I just wanted to point out we are
9 dealing three days after the last culture is made, the first
10 culture made after the last treatment regimen and then again
11 after a 10-day convalescent leave. So, it is three and
12 13 days when the cultures are made after the treatment.

13 DR. BENENSON: Dr. Schultz, what data do you
14 people get on the Pentostam used around the country or is
15 very little used?

16 DR. SCHULTZ: There is a fair amount that has been
17 used. I just had my clerk analyze the data over the past
18 10 years, and there has been 138 doses of Pentostam dispensed
19 during the past 10 years.

20 DR. BENENSON: Thank you, Colonel Canfield.

21 DR. SCHULTZ: One hundred and thirty-eight doses
22 of Pentostam in 10 years' time. So, it is an average of
23 14 cases a year although this may not mean that there are
24 138 patients because some are repeat treatments. Of those
25 138, at least 45 were military cases. One of those was a

1 military dependent.

2 We don't know which service of the military
3 contributed to those 45, but the proportion that is military
4 of the total is increasing in recent years and all but one
5 of the military came from Panama, jungle training.

6 DR. BENENSON: That is the total consumption.
7 You have no data on the success of the therapy?

8 DR. SCHULTZ: No, unfortunately, I don't have any
9 data on efficacy with me, but I would be happy to put it
10 together and make it part of the proceedings of this meeting.
11 I just have not had time in the past several weeks, but I do
12 have some data on safety of Pentostam, part of which I just
13 got over the phone a few moments ago, and we have analyzed
14 59 cases which received Pentostam, and I have a list of
15 side effects here. I won't give all of them to you because
16 they are numerous, but the most common side effect was pain
17 at the injection site in nine patient, nine of 51 patients.

18 DR. BENENSON: These were all given intravenously?

19 DR. SCHULTZ: I cannot say for sure.

20 DR. BENENSON: You list, Colonel Canfield that
21 standard therapy is intravenous.

22 COL. CANFIELD: Yes.

23 DR. SCHULTZ: In our protocol --

24 COL. CANFIELD: It can be either way.

25 DR. BENENSON: I see, you say either one. Okay.

1 DR. SCHULTZ: That was the most common side effect.
2 The next most common was malaise and then the next most
3 common occurred in several different signs and symptoms in
4 three patients each, nausea in three, elevation of SGOT in
5 three, vertigo in three. That is all.

6 In two patients each arthralgias, fever, headache,
7 that is all, and in one patient each myalgia, abdominal cramps,
8 epistaxis, weight loss, chest pain, anorexia, stomach ache,
9 cough, weakness, tachycardia, elevated blood pressure, dyspnea,
10 diaphoresis. Now, of course, these are a wide variety of
11 constellation of signs and symptoms. I cannot vouch that
12 they are all due to antimony.

13 DR. BENENSON: Colonel Canfield you have the
14 degradation curve of the drug intravenously. Do you have
15 any data on the intramuscular?

16 COL. CANFIELD: No. That is old data. It is
17 1947, and we will get our own intravenous data. I am trying
18 to think if that same drug was not given intramuscularly
19 in that study.

20 DR. BENENSON: Dr. Neva?

21 DR. NEVA: Let me ask kind of a naive question?
22 Can you account for the pentavalent antimony all in the blood?
23 Is it possible that it may be found in macrophage cells,
24 stored in other tissue compartments?

25 COL. CANFIELD: Probably not. Certainly in animal

1 studies that they have done in which they have looked at
2 recovery, it is nearly all excreted in urine within the first
3 24 hours.

4 DR. BENENSON: The thing I am wondering is if you
5 put it intravenously, it is going right through the kidney.
6 It is going to be excreted much more rapidly. If you
7 add the complication of having to be picked up from a tissue
8 deposition site that would give you a much, I would expect,
9 more prolonged blood level, wouldn't it?

10 COL. CANFIELD: It would affect the absorption
11 phase from the site, yes, and depending upon where it was
12 given and the vascularity of the muscle, but a drug such as
13 Pentostam which is so soluble in water, it would be picked
14 up very rapidly. Yes, it would delay it and spread it out
15 a little bit.

16 DR. BENENSON: But maybe only 30 minutes, is that
17 what you are saying?

18 COL. CANFIELD: Yes, I would think no more than
19 that. I am just guessing. I have not seen the --

20 DR. BENENSON: Dr. Walton?

21 DR. WALTON: I had one point and one plea since I
22 have a precedent for making pleas in a study. The point is
23 that there is quite a bit of evidence that there is distinct
24 difference in susceptibility of different leishmanias to
25 antimonial therapy, well, other drugs, too. The mexicana

1 type from Mexico and Central America is much easier to treat
2 in general than particularly braziliensis from certain areas,
3 and this is not well defined, but I think it is real, and I
4 mentioned before we have some evidence that we have more than
5 one thing in Panama. So, therefore, in your series maybe
6 you are treating different bugs. So, if you, the plea is,
7 put some of these isolates down and get the cryopreserved,
8 get them in places like Liverpool or London, the only place
9 they are doing biochemical taxonomy now to see if there is
10 any difference, this might explain differences in case you
11 have discrepancies.

12 One other point, I don't think Carter Diggs was
13 very enthusiastic about serologic monitoring effects of
14 treatment right now, but if you put some serum down in a
15 freezer, I would like the chance to run some blind before
16 and after.

17 COL. CANFIELD: Particularly the first point we
18 would agree with, and I have a question. Has anyone ever
19 looked at an in vitro dose response curve of drugs to
20 determine the susceptibility in vitro?

21 DR. WALTON: The answer is there are probably a
22 dozen or so papers published on this, and they have all been
23 done with promastigote forms which is irrelevant.

24 The group in Liverpool started doing some of these
25 things in their tissue culture system, but they ran into

1 problems. They had that dark sarcoma line that they are
2 having problems with. So, I don't think anyone has done it.

3 DR. BENENSON: Dr. Simpson?

4 DR. SIMPSON: Colonel Canfield, I would like to
5 ask you, the older literature is filled with reports,
6 anecdotal and otherwise on the presence of pneumonic
7 infiltrates after virtually any of the antimonials. Did you
8 notice that in your series? It seemed to be very devoid of
9 respiratory side effects.

10 COL. CANFIELD: My impression from reading the
11 older literature is that this occurred more in the severely
12 ill, the kala-azar patients, and they got a lot more
13 complications from the drugs. They got the cough and the
14 pneumonic infiltrate. They got cardiac toxicity. And some
15 people then have gone on to say, well, they don't know what
16 this means because a patient may have had a lot of other
17 diseases, and we have not really seen that. We are, as I
18 say, doing daily electrocardiograms, and we have seen maybe
19 just a tad of T wave flattening, but really we are seeing
20 nothing in the cardiorespiratory system.

21 DR. SIMPSON: I had one other question which may not
22 be appropriate at this time. What is the status now in your
23 laboratory of Allopurinol and the other conjugates? Dr. Joe
24 Marr from Washington University in St. Louis read a very
25 exciting paper at the Denver Meeting on the use of these

1 in vitro and in an animal model. I have forgotten which
2 animal at the moment. It showed considerable promise as a
3 drug which produced a static effect in vitro. You would
4 wash the drug out, and the organisms would immediately regrow,
5 begin to multiply again. I think he was dealing with
6 promastigote cultures.

7 COL.CANFIELD: (Shrugs, no response.)

8 DR. BENENSON: Dr. Schultz?

9 DR. SCHULTZ: I think the most critical issue as
10 relates to therapy of leishmaniasis in the military has yet
11 to be raised, and I will raise it now, and that is the very
12 pragmatic problem of supply of pentavalent antimony. It is
13 an issue I raised to Dr. Beaver in 1972, when he was the
14 Director of the Commission on Parasitic Diseases, and I
15 raised it at that time because CDC was supplying Pentostam
16 to both the civilian sector and the military sector, and
17 we learned that the supply was to be cut off, and indeed, we
18 ran short and were unable to supply it to some patients,
19 including military patients, and the manufacturer, Wellcome
20 Foundation was going to discontinue it, and I perceived this
21 as a military and strategic type problem because as was
22 brought out again at this meeting, leishmaniasis is in all
23 of the tropics and could be in any potential theater of war,
24 and yet our supply of Pentostam is so tenuous. It comes
25 from one supplier who was about to discontinue it, and the

1 only alternative was Glucantime which is not a very good
2 alternative.

3 DR. BENENSON: Let us attack both of those -- I am
4 sorry. Were you finished?

5 DR. SCHULTZ: Yes.

6 DR. BENENSON: Brice, you have had some contact
7 with Wellcome. Do you know if they are planning to cut off
8 Pentostam?

9 DR. WALTON: Yes, this is a very real problem
10 now, worldwide, not just for US military. Leishmaniasis is
11 on the rise worldwide, and it is very difficult to get it.
12 Pentostam, for years, was stocked by Wellcome from one
13 manufacturing run. It is something like a 15-year supply
14 was put down from one manufacturing run, and evidently the
15 manufacture of all antimonial compounds is not a very exact
16 science. There is an element of bats' wings and toads' toes
17 involved, and the procedure is to make a run and then assay
18 the product, and if does not meet the specifications reject
19 it and start all over again, and evidently this is what
20 happened with specia(?) with Glucantime. They had production
21 problems for quite a time, and they just almost had the
22 supply lines empty, and they got a fair production going, and
23 the Arab nations with their petrodollars found themselves
24 in the position to be able to treat the cases of tropica
25 for the first time and I was told, and I cannot verify it, but

1 I was told that they bought up the world supply of French
2 produced Glucantime which caused a severe shortage. None of
3 the normal distributors throughout Latin America had any
4 for almost a year and one-half. However, investigating this
5 for PACO(?) I found that Glucantime is also produced under
6 license by a Brazilian manufacturer and they have evidently
7 had no problem, and it has been available at a much better
8 price than the French produced, and as a matter of fact PACO
9 now is negotiating purchase for several governments through
10 Brazil. I understand, also, informal contacts with Wellcome,
11 that they did or are shortly planning another production run.
12 So, they are not going to run out.

13 So, there will be at least two sources in the
14 Western world of pentavalent antimonials.

15 DR. SCHULTZ: Yes, there was some intercession
16 with the Wellcome Foundation at the time that came up. They
17 are continuing in the business of doing it, but it is still
18 a very weak link in the chain, and I would hope the military
19 would see fit to stockpile, presuming that it is stable,
20 and I am not sure about its stability, or else get some
21 American manufacturer to underwrite, an American manufacturer
22 to make the stuff.

Bowers Reporting Company

23 DR. BENENSON: Three year storage?

24 COL. CANFIELD: Three year shelf life.

25 DR. BENENSON: Is that an arbitrary shelf life or is

1 something of which there is actual degradation?

2 COL. CANFIELD: That is the manufacturer's shelf
3 life.

4 DR. BENENSON: I know, but the manufacturer often
5 -- I don't know if anybody is here from BOB, or FDA, I mean
6 arbitrary dates are put on with no evidence of deterioration.

7 COL. CANFIELD: I know that the previous batch, the
8 one that they are shipping from now, I believe, expires this
9 year, and that is why they are coming up with this new big
10 run. So, presumably the last batch they put together was
11 three years ago, formulated.

12 DR. BENENSON: Do you know anything about its
13 degradation?

14 SPEAKER: The labile on Glucantime has no expiration
15 date.

16 DR. BENENSON: Since most of Latin America -- do
17 you have any comments on the Brazilian glutamine?

18 DR. MARSDEN: No. I, of course, see it from the
19 point of view just from the university setting and my use
20 on the ward and in the field, and we have not had any holdup
21 in supplies, but I cannot comment on it.

22 Of course, Pentostam is not available.

23 DR. BENENSON: Dr. Gunning?

24 CAPT. GUNNING: I wanted to ask Dr. Schultz, you
25 have intimated that Glucantime was not a suitable alternative.

1 Do you mean to imply that because of their manu-
2 facturing problem it was not a suitable alternative? If the
3 manufacturing were guaranteed and held stable, would it be
4 in your estimate or CDC's estimate as good as Pentostam?

5 DR. SCHULTZ: It is a pentavalent antimonial. So,
6 it could be used. We had problems physically getting it.
7 That was one problem, then various problems of volume
8 administration.

9 CAPT. GUNNING: But as near as anyone can tell here
10 in this room there really is not too much difference in the
11 therapeutic efficacy?

12 DR. BENENSON: No. Your question is one that I
13 started to ask Colonel Canfield because he is the one who
14 condemned it, and since it is in general use throughout
15 Latin America, I think it is worth reviewing the reasons
16 why you say that it is an unacceptable alternative.

17 COL. CANFIELD: It is unacceptable to us for two
18 reasons. One, we couldn't get it, and we tried.

19 DR. BENENSON: All right. You know where to get it.

20 COL. CANFIELD: The second reason was that when we
21 wrote to the company and we reviewed the literature trying
22 to find sufficient preclinical toxicological data on which
23 to base an IND we just could not find it. We could work the
24 drug up, but we are talking about three or four years of work.

25 DR. BENENSON: Dr. Marsden?

1 DR. MARSDEN: I think the problem here is the
2 very problem we face in any one of these international
3 diseases that the information is difficult.

4 It was a French drug. It was put in South America
5 by the French at a time when the French had -- Paris and
6 Rio were very close. Everybody in Rio sent their children
7 to the Sorbonne, and in consequence that literature is
8 hidden and lost to us. I agree with you, it is not good
9 literature. It is not good literature on Pentostam, but
10 certainly the Pentostam there is more meat to it. You will
11 never get it out of the Glucantime unless you are prepared
12 to go around Brazil hunting lost files.

13 COL. CANFIELD: You are right. The literature on
14 Pentostam is not very good either, and indeed when we sent
15 our IND to the FDA they said they looked at how many patients
16 we thought we might have to treat, and they said, well,
17 because we had in essence submitted the same information that
18 CDC had submitted, they said that that IND was okay for one
19 or two patients a year, but if you are talking about more
20 patients you need a lot more studies, animal studies, and
21 so we are having to do some animal toxicology studies.

22 DR. BENENSON: Let me translate what you just said.
23 Are you planning to do them or you would have to do them if
24 you wanted to use it? You are not planning to do it?

25 COL. CANFIELD: We are doing it.

1 DR. BENENSON: Oh, you are doing it. Okay.

2 COL. CANFIELD: With Pentostam.

3 DR. BENENSON: Oh, with Pentostam, okay.

4 Let me pose a question, and maybe you all can think
5 on it at lunch. You are talking about the military cost of
6 this disease, the time lost from duty and so on, and I find
7 myself wondering why we traditionally say that a patient with
8 leishmaniasis must be hospitalized during the time he has
9 an open lesion.

10 I think maybe we will leave that for you to think
11 about, and I will raise that question after we come back from
12 lunch.

13 Colonel Erickson, our traveling instructions again?

14 (Administrative announcement.)

15 (Thereupon, at 11:55 a.m., a recess was taken until
16 1:10 p.m., the same day.)

AFTERNOON SESSION

1:10 P.M.

1
2 DR. BENENSON: Before we go into the agenda item
3 of considering the answers to the questions that Colonel
4 Cutting posed, is there any more discussion on Colonel Canfield's
5 protocol?

6 Dr. Marsden?

7 DR. MARSDEN: I would like to ask Colonel Canfield
8 just a little more about this whole concept. It came as a
9 complete surprise to me that there is no cumulative effect
10 with pentavalent antimonials because the older physicians,
11 and I am quoting now, Sidney Hamilton, Sir George Morrow,
12 and these people. They all said that it was a cumulative
13 drug, and you had to stop after 10 days. You are sure that
14 in fact none is retained? It is all excreted, is it? The
15 behavior of the pentavalents is different to the trivalents
16 in this respect. Why are the trivalents held in the body
17 and the pentavalents not?

18 COL. CANFIELD: I cannot say that there is no
19 tissue retention, but by and large the majority of the
20 material is excreted within 24 hours. There could be some
21 accumulation, let us say in the heart or there could be
22 accumulation in the macrophages. In terms of the total dose
23 that you deliver it would not be measurable. In other words,
24 the way you calculate this if the elimination half time is
25 four hours, that means that there are six elimination half lives

1 within a 24-hour period of time, and so if you just start
2 out with 100 percent, 50 percent, 25 percent, 12-1/2, you
3 get down to about 1 percent of the drug that is left over
4 after 24 hours. So, there is a little bit of drug, and it
5 could conceivably be in a tissue in which it could either
6 be toxic or therapeutic, but in terms of the vast majority
7 of the drug it is certainly all gone.

8 DR. MARSDEN: What about this business of the
9 trivalent, there is a difference in handling?

10 COL. CANFIELD: Yes.

11 DR. MARSDEN: Because trivalents are terribly
12 toxic. I don't use them anymore, but I had a lot of experience
13 in the old days with trivalents, and the pentavalent in
14 comparison are almost magical.

15 COL. CANFIELD: Yes. In metabolism studies with
16 the two drugs, again, pentavalents are almost all excreted
17 in the urine, and the trivalents, I believe come out
18 primarily in the feces, as I recall. They are either
19 excreted in the bile -- in other words, they are recovered
20 in the feces, and they are metabolized more by the liver.

21 In terms of recovery of dose delivered I believe,
22 yes, there is more tissue retention of the trivalent, although
23 the kind of study that I just showed you with Pentostam I
24 do not recall a similar study with a trivalent.

25 DR. MARSDEN: But the study you showed us by Otto

1 was not actually Pentostam, was it?

2 COL. CANFIELD: No, it was Dibanose(?), a penta-
3 valent --

4 DR. MARSDEN: Which is different again.

5 COL. CANFIELD: But it was a pentavalent antimonial,
6 and in terms of, there is another metabolism study in
7 animals which showed that the pentavalent antimonials are
8 handled quite similarly.

9 DR. MARSDEN: It has a practical point because
10 for instance, what we are doing in Brazilia, we are using
11 Glucantime in three series of 10 days, a fairly standard
12 thing as set down, but if what you say is true, we could
13 shorten our hospital stay that we have to bring a lot of
14 them into the hospital. We could shorten our period of
15 hospital stay and save money, if we gave them the whole
16 three right off the bat, as it were, giving 1 gram of drug
17 per kilo per series over 10 days and having to bring them
18 in after a fortnight for repeat and yet again to repeat that.
19 It is costing us a lot of money.

20 I mean would you advocate that we --

21 COL. CANFIELD: That is the kind of question that
22 can be studied, but it hasn't been studied. In other words,
23 you can do tissue accumulation studies. You can do this
24 in animals, and you can determine whether or not the drug
25 is accumulating in certain tissues over a prolonged period of

1 time, but if the tissue -- if the whole body elimination
2 half time is four hours or less, why you see virtually no
3 accumulation, but again I showed you plasma disappearance
4 curve which in this case represents the majority of the
5 compound, but you also need to look at target tissues.

6 No, I could not advocate it without some very
7 sophisticated studies.

8 DR. BENENSON: Dr. Gunning?

9 CAPT. GUNNING: Several of us have had a feeling
10 in the past that, Dr. Walton and I, that perhaps 20 days of
11 treatment with a reduced dosage, a longer span of treatment
12 might be influential. I don't know if in the experience of
13 anyone here -- has anyone ever laid that to rest? That
14 would be point number one.

15 The second point is that I guess I could best tell
16 this by an apocryphal story, a retrospective story. The
17 first case I saw in California on my return of a marine who
18 had been in Panama was just at the time when the Flagyl papers
19 made their eruption, and naturally we decided well, perhaps
20 we had better try Flagyl. Well, the point I am making is that
21 on culturing this man who was only two to three months into
22 his illness I finally got to the point where I got the
23 negative cultures, certainly just before I tried the Flagyl.
24 Otherwise, it would have been listed as a Flagyl success, so
25 that I am not sure that the Pentostam can be blamed for the

1 cure, nor am I sure if you are going to have failures that
2 the Pentostam is the reason for the failure, and I realize
3 the design of your study is difficult at best, and then
4 the third part of the question is are there other therapies
5 that anybody is considering, such as combination therapies,
6 Amphotericin to break it up and put something else in, a la
7 fungus therapies where we are using a combination of
8 Amphotericin and 5-FC? Has Rifampin been tried, combinations
9 Amphotericin and tetracycline and things of that nature?
10 Is there any experience with that? I know of none.

11 DR. BENENSON: Dr. Neva?

12 DR. NEVA: I believe Rifampin has been tried,
13 but again only in just a few cases. The trouble is I think
14 you cannot generalize with the response to treatment number
15 one of visceral leishmaniasis and not just then all of the
16 cutaneous leishmaniases I think will depend upon varieties
17 of organisms, whether you are dealing with tropica major,
18 whether you are dealing with the braziliensis panamensis
19 or mexicana. I think these may give you some differences
20 in response.

21 DR. BENENSON: Dr. Marsden?

22 DR. MARSDEN: I would like to come in on that.
23 I agree with that, Frank, but it is even more complicated
24 because we have no way, really, of evaluating the literature.
25 Still they are getting one case in, you know, into the

1 literature as a treatment success or failure, because there
2 is no way, really of standardizing how these cases are
3 evaluated as yet, and it does not just depend, in fact, which
4 is extremely important, as Frank says, on what type of
5 leishmaniasis is present in the area but also what is, for
6 instance, the incidence of spontaneous healing in the area.
7 Spontaneous healing undoubtedly occurs. It is very difficult
8 to get a gauge of this. It probably can best be done by
9 a retrospective study of people with scars who have not sought
10 specific treatment, because you can hardly leave the people
11 and not give them anything, but if you don't know this in
12 the evaluation of what happens to your patients it becomes
13 very difficult on the drug because they could have done it
14 anyway.

15 Another factor is what criteria are you going to
16 use for the evaluation of success with the drug, and our
17 feeling where we have got this terribly difficult form is
18 that we would like to use four criteria, not only
19 parasitological cure but histological cure, immunological
20 cure as well. We would like to have these. I have, also,
21 got another one which I have lost in the course of my
22 discourse, but I will find it. We have actually got four.
23 Oh, yes, it is clinical.

24 So, we feel that we would like information on all
25 these four, really, and also over what degree of time are we

1 going to standardize this data? I quoted a case 20 months
2 afterwards, relapse in the nodes. So, obviously, the studies
3 like studies from Panama, like Brice's paper on provolume(?)
4 a very important factor was he gave us the length of time the
5 patient had been followed up. A lot of these papers never
6 tell us how long they have been followed up.

7 DR. BENENSON: Dr. Neva?

8 DR. NEVA: Maybe I am coming to your defense, Craig.
9 After being somewhat critical of this experimental design,
10 I think actually the design that has been outlined to us
11 probably is about as good a thing as you can do to try to
12 get these answers.

13 In otherwords, if you systematically treat
14 according to various schedules and then you culture the
15 lesions, looking for persistence of organisms, I think this
16 will at least tell you on a comparative basis with each
17 regimen of treatment how easy or difficult it is to recover
18 organisms from the lesions. Now, that is at least one bit
19 of data that may not give you the total answer, but I think
20 it is going to be useful in interpreting this, and then
21 clearly you will have clinical response to go on too. If
22 anything the way I would maybe advocate thinking about if
23 you are going to make any modifications, I would leave
24 perhaps a little bit longer time interval if you can, just
25 to be realistic in carrying this out, a little bit longer time

1 interval between the shifting to a different mode of therapy,
2 leaving a couple of weeks, at least, from the time of ending
3 one regimen, doing your biopsy or whatever method of culture
4 you have and then shifting them over to another.

5 SPEAKER: For toxicological --

6 DR. BENENSON: Canfield?

7 DR. NEVA: No, to allow time for several
8 possibilities, that you may inhibit organisms and make it
9 difficult to culture them for a few days or maybe a week and
10 just give time for being able to recover them again.

11 COL. CANFIELD: Are you saying that you would not
12 treat as soon as you got a positive culture?

13 DR. NEVA: No, I would go ahead with your protocol
14 as you have it because otherwise you don't have the same
15 way of handling each one of the treatment schedules. I think
16 you have to do the same with each one.

17 DR. BENENSON: Major Hendricks?

18 MAJ. HENDRICKS: The maximum period of time that
19 could elapse between treatments would be 34 days. That is
20 with the culture, last culture taken 13 days after treatment
21 and keeping the culture for 21 days before we consider them
22 negative. If we do not discover it until the 21st day that
23 it was negative, it would be a 34-day lapse between the last
24 course of therapy and the next course if it became positive
25 the 21st day.

1 DR. NEVA: I think probably a more important issue
2 is the duration of time after completion of one course of
3 therapy and the time to do your culture. As I recall that
4 was two days for the first one, three days, and then 13 days.
5 Perhaps that is reasonable.

6 MAJ. HENDRICKS: On Dr. Marsden's point about
7 follow-up, it is pretty difficult because there is a fairly
8 rapid turnover, and Dr. Takafuji and I have been discussing
9 this quite a bit of how far we can follow this particular
10 battalion and still have some assurance that we are going
11 to get 80 percent of them, 90 percent of them, whatever.
12 There is a fairly rapid turnover in many of these airborne
13 outfits of personnel being dispersed to other areas in the
14 United States or getting out of the service, and if you
15 let it go too long you just won't be able to get them back
16 to follow them up.

17 Once they are out of the Army it is very difficult
18 to get them back and follow them up on a protocol, that is an
19 Army protocol.

20 DR. MARSDEN: Of course, it is not anything like
21 we have to face with a wandering Brazilian population out
22 there in the bush. So, if you chaps don't do it, I don't
23 think we will ever get very really satisfactory follow-up,
24 even though all our cases are much more severe. They just
25 get lost.

1 COL. CANFIELD: We are establishing a framework from
2 which a follow-up study can be constructed, that is we are
3 getting as much information about the people, what their
4 permanent addresses are, who their relatives are and such that
5 even though they do get out of the service we can at least
6 do a questionnaire follow-up at some time in the future.

7 DR. MARSDEN: If I could just come in there again,
8 just to retell our small experience still, only two and one-
9 half years in Brazilia, I mean we have found and it has been
10 reported by Walton and others, that that fluorescent antibody
11 test is very useful in assessing follow-up, and it does drop
12 in the few places that we have been able to study, and it
13 helps us in assessing really whether we have cured the
14 patient.

15 Another tack that we are pursuing is we are doing
16 a collaborative study with Ridley who is the expert on the
17 leprosy granuloma in the skin, and of course has changed the
18 management of leprosy by his interpretation of how the
19 granuloma changes as therapy progresses in leprosy, and it
20 struck us that possibly he could help us in leishmaniasis
21 which has many similarities to leprosy if you could devise
22 some sort of histological formula he could apply to
23 leishmaniasis, and he has a paper in draft on this. He has
24 not been as successful as he has been in leprosy, but some
25 progress has been made in interpreting what is happening

1 to the granuloma and what this means in terms of the
2 possibility of a relapse.

3 COL. CANFIELD: Just to respond, a couple of times
4 you mentioned serology. We are, of course, drawing sera,
5 and if Carter can ever develop a test that will work --

6 DR. BENENSON: Let me raise the question that I
7 raised before the break, and that is the development of
8 protocols which require hospitalization. Is there really
9 a reason to hospitalize the patient who has a cutaneous
10 lesion that is not very extensive and so on and so forth?

11 COL. RUSSELL: Only if you want to treat them.

12 DR. BENENSON: But you can treat them on an
13 outpatient basis.

14 COL. RUSSELL: Not under the protocol.

15 DR. BENENSON: No, no argument on this protocol.
16 No, I am talking about you are evaluating therapeutic
17 regimens, only one of which could be used on an outpatient
18 basis. That is protocol A, because they could come in daily
19 for their intravenous dose, but I am raising the question.

20 I mean in the earlier discussion of Dr. Takafuji's
21 presentation he had a note that this represented so many
22 hundred man days lost, because I think we have always
23 handled leishmaniasis as a diagnosis that requires
24 hospitalization. We put all military personnel in the
25 hospital the moment we make the diagnosis. I raise the

1 question is that necessary?

2 Captain Gunning?

3 CAPT. GUNNING: I have had experience with treating
4 two patients as outpatients in San Diego. These were Army
5 Reservists who contracted their disease in Panama, but they
6 were going to school and working and just could not get off.

7 DR. BENENSON: They were not military personnel?

8 CAPT. GUNNING: They were not military personnel.

9 DR. BENENSON: They are harder to maintain and
10 get hold of.

11 CAPT. GUNNING: And in order to treat them it was
12 either a question of doing it this way or almost not doing
13 it at all. Those chaps stayed in school. One of them drove
14 a cab all night, and they had really no untoward effects.
15 We monitored the laboratory tests. The only thing I insisted
16 on was that they remain in the clinic for a period of two
17 hours following their intramuscular injection, and we did not
18 have any problem.

19 Now, as an analogy to this, we do this in
20 Amphotericin intravenous therapy in coccidiomycosis in
21 California --

22 DR. BENENSON: That is a much more toxic drug.

23 CAPT. GUNNING: It is much more toxic, and we do
24 it all the time as outpatients. You just keep them there
25 until they have had their infusion and then let them go.

1 DR. BENENSON: That is why I raised the question,
2 because in Kentucky we gave Amphotericin as an outpatient
3 drug, much more toxic than the pentavalents we are talking
4 about, but, all right, let us assume that I have cast a germ
5 of thought into your minds and let us go ahead.

6 Unless there is more on the protocol that is
7 underway --

8 Yes, Dr. Simpson?

9 DR. SIMPSON: I would like to introduce one other
10 question which you might want to come back to later.
11 Obviously there are probably better means of prevention than
12 chemotherapy, but I wonder if any consideration is being
13 given to chemoprophylaxis? Obviously in Dr. Marsden's
14 setting this would be of little or no value, but in a
15 military operation where you are dealing with two, two and
16 one-half weeks of acute exposure this line of approach if
17 one could get a residual depot drug which was safe and
18 effective in that role, perhaps it would be worth looking
19 into.

20 DR. BENENSON: Dr. Canfield, what is the hope?

21 COL. CANFIELD: It is much easier if you have got
22 an effective therapeutic drug and you know how much drug
23 is required. Then you are right, it becomes a technical
24 problem on how to develop a sustained release formulation.
25 I don't think we have gotten through the first step.

1 DR. BENENSON: Dr. Neva?

2 DR. NEVA: I was going to second the suggestion
3 about at least thinking about the possibility of testing
4 the prophylactic drug. One of the nice things about your
5 experience is that you have battalions going in, and you have
6 already a baseline. You know pretty well what to expect. I
7 think you could get a reasonable idea of whether a prophylactic
8 drug is going to give you at least a dramatic response, and
9 if you want a suggestion for a candidate, I would suggest
10 pentamidine.

11 DR. WALTON: Why and how and how often?

12 DR. NEVA: One dose, because it is effective in the
13 treatment of visceral leishmaniasis, at least some of the
14 cases that are resistant to antimonial drugs because it has
15 some value in the treatment of other protozoan infections,
16 prophylaxis, African trichosomiasis. At least think about it
17 as a possibility. You might even test it in experimental
18 animals first.

19 DR. BENENSON: Dr. Walton?

20 DR. WALTON: I would like to register a minority
21 opinion on two points. One, it is true pentamidine has been
22 reported to be effective in some cases that are resistant to
23 antimonials, but when it is used as a primary drug the
24 efficacy rate is not as good as antimonials.

25 DR. BENENSON: In established lesions?

1 DR. WALTON: Yes, and the whole idea of prophylaxis,
2 I think is a little bit shakey if we are thinking about
3 areas where braziliensis occurs with the danger of delayed
4 development of mucocutaneous lesions.

5 We obviously don't have any good criteria with
6 which to judge a cure. So, we cannot really just how well
7 we are doing with the prophylaxis. What we might be doing
8 is giving incomplete treatment and therefore setting your
9 patient up for these delayed onsets. Until we have a way
10 of detecting infections, I think it would be pretty shakey
11 in the braziliensis country to use a prophylaxis.

12 DR. BENENSON: Dr. Farah?

13 DR. FARAH: I accept all these objections,
14 Dr. Walton. However, in thinking about prophylaxis, maybe
15 one can think of certain drugs like cycloguanil pamoate, a
16 Camolar which when used in the treatment of both cutaneous
17 leishmaniasis of the Old World and also of the New World
18 seems to act somewhere about two months after a single
19 injection is given. This may be the type of drug that could
20 be considered at least initially in a trial period.

21 DR. BENENSON: Maj. Hendricks?

22 MAJ. HENDRICKS: We have been working with an
23 animal model for quite a while that Dr. Walton and I worked
24 with in Panama. It was the African white tailed rat,
25 mystavis zabocoidadis(?) And we thought about therapeutic

1 or chemical prophylactic trials. We have run treating the
2 animal at the same time that we inoculated him and prior
3 to infection with a 10-day regimen which would be equivalent
4 to the dosage that the humans receive. What it did, in effect
5 was prevent the development of the lesion for seven weeks.
6 It did not prevent the lesion from developing. It just retarded
7 it for seven weeks. So, it goes along fairly well with
8 what Col. Walton said, with Glucantime.

9 DR. SCHULTZ: In our series where we used it for
10 pneumonia, admittedly a longer course, but we are still
11 putting pentaminine on board. It was 40 percent toxicity
12 of moderately severe to severe toxicity.

13 DR. BENENSON: How many diabetics?

14 DR. SCHULTZ: You mean did it cause?

15 DR. BENENSON: Yes.

16 DR. SCHULTZ: There were some. I don't recall
17 the percentage.

18 DR. BENENSON: Dr. Simpson?

19 DR. SIMPSON: I would like to ask Colonel Walton
20 a question of information primarily. Was there anything
21 odd about the recurrent cases of cutaneous leishmaniasis
22 that appeared following the dose of Camolar that was given
23 during your 1965-67 study?

24 Were these worse or better or just simply the
25 same?

1 DR. WALTON: No, I don't think there was any real
2 difference. We probably did not have the experience when
3 we were doing the Camolar that we developed later, but I
4 think there were probably more out and out treatment failures
5 with Camolar, that is no apparent effect, very little healing
6 effect, and in a way it might be better because we had, I
7 think we encountered a higher rate of recurrence after say
8 three months with pentavalent antimonials. As a matter of
9 fact, some of the statistics that were developed we never
10 saw the patient when he had his recurrence.

11 We used several devices to try and be notified if
12 there was a recurrence, and many of these treatment failures
13 were sent away from Gorgas Hospital in the Canal Zone and
14 recorded as treatment success. Six, seven months later,
15 I don't know what the longest period was, but we would get
16 a postcard notification that we put in the medical records
17 back from another military hospital and two cases from
18 Veterans Administration Medical Facility saying that the
19 patient was there with a lesion, and he has a history of
20 having had cutaneous leishmaniasis. So, I think that answers
21 the question.

22 DR. BENENSON: Anything else?

23 Let us move on to the questions that the Board is
24 being asked to answer.

25 I took the liberty of asking Carl Johnson who has

1 been treating leishmaniasis or cutaneous leishmaniasis since
2 1930 for his responses to these four questions, and they
3 are being distributed to you.

4 We will take them up one at a time. So, don't read
5 on ahead. They represent on the whole the opinion of one
6 man who has probably seen more leishmaniasis in his life span,
7 with all due deference to Brazil than all of us put together.
8 Essentially he is describing the methods that are used at
9 Gorgas Memorial Laboratory.

10 All right. Now, our first question is what is the
11 most appropriate therapeutic regimen for individuals
12 developing disease? Now, this is clinical disease with
13 clinical manifestations. Of course, that in essence is what
14 we have been discussing for the past hour, and where Carl
15 Johnson recommends or is convinced pentavalent antimonies
16 are preferable, he has been using Glucantime. We have not
17 been using Pentostam in Panama. We have heard the reasons
18 why, at least for the time being among US personnel, I
19 presume you cannot use glutamine. Why do I keep calling it
20 a carbohydrate? Glucantime. Is there any further discussion
21 on that? I mean those of us who are south of the border
22 apparently are all using Glucantime.

23 DR. MARSDEN: For the same reason as those north
24 of the border are using Pentostam. We cannot get the other
25 one.

1 It is not that we have any particular preference.

2 DR. BENENSON: And there are no comparative studies
3 that I know of that says one is better than the other or worse
4 than the other.

5 All right.

6 The next thing that he alludes to is the use of
7 Camolar, and he points out that when it is used improvement
8 does not become manifest. Now, he is talking and Carl
9 rates the treatment of cutaneous leishmaniasis in terms of
10 clinical aspects. If the lesion heals the patient is cured.
11 I think I implied earlier today he still has possibly
12 leishmanial infection, but he doesn't have leishmanial
13 disease, and he points out that with Camolar the improvement
14 of the lesion becomes manifest after one month and healing
15 is not completed before two or three months. It is much
16 slower than the pentavalent antimonials.

17 Any discussion on that?

18 DR. MARSDEN: Well, its thorny, this Camolar.
19 I don't like to get into it because I have never used it
20 myself, but I am confused. I mean here in our area I think
21 we go straight on to Amphotericin B because we have such
22 damaging braziliensis type disease that we cannot afford in
23 Central Brazil to wait that long.

24 Another aspect that raises, that comment is
25 something that has to be said in comment that while I entirely

1 agree this represents unusual experience and Dr. Johnson has
2 more experience than anybody else, we must remember it is
3 experience based on Panama, and unfortunately we don't have
4 a Peruvian with us today, and we don't have various other
5 representatives of types of leishmaniasis, those people who
6 might give a different opinion again.

7 DR. BENENSON: One reason that we have on this
8 Ad Hoc Committee today someone from Brazil and someone with
9 Middle East experience is because the military has to
10 consider the therapy of cutaneous leishmaniasis from a
11 global perspective and not purely from the point of view of
12 some soldiers at Ft. Bragg that have cutaneous Panamanian
13 infection, so that I think we have to look for the broadest
14 globally most effective therapy. That is reinforcing your
15 arguments.

16 DR. MARSDEN: Yes. If I could just comment again,
17 I mean our indication for using Amphotericin which for us
18 with our small facility is not something we like to use
19 because it does mean in our case hospitalization, an
20 intolerant patient a long protracted course of therapy, is
21 someone who has not responded to our full course of
22 Glucantime and who has severe mucosal damage. We are not
23 using it, in fact, in our circumstances for treatment of
24 cutaneous lesions, because we have such a call on our
25 resources, but that is purely a practical point.

1 DR. NEVA: Would you agree on the dose that he
2 recommends if you do have to use Amphotericin, 1.5 to 2 grams
3 total?

4 DR. MARSDEN: Yes. I think that it is fortunate
5 that, in fact, lower doses than those used in deep systemic
6 mycoses appear to be very effective in severe mucocutaneous
7 leishmaniasis. I could show slides. I won't. There is not
8 time, but I could show slides of people who have failed after
9 such a course. There is always the patient who does not --
10 but this is the sort of course which Sampeon who introduced
11 it into Brazil, the sort of courses that he found were
12 virtually uniformly successful.

13 DR. BENENSON: Again, looking at it from the
14 point of view of curing the manifest lesion, Carl is very
15 happy with the application of local heat which, as he says
16 here, in some instances has produced beautiful results when
17 drugs were contraindicated and so on.

18 Again, I stress that I am sure that the infection
19 persists in these, but the lesion is cured, is healed. I
20 think that is the better term.

21 All right. Anything else on therapy? This is as
22 much as Carl has. He excised his own lesion, and he points
23 that out here, and of course when I challenged him he says,
24 yes, he still has a good high serological titer even though
25 he did that excision 20 years ago or something like that.

1 Again, he cured the lesion by excising it, but
2 infection with the parasite, I am sure, persists within the
3 body.

4 Dr. Farah?

5 DR. FARAH: In discussion of the treatment of
6 cutaneous leishmaniasis, I think one should be very careful
7 in defining exactly what parasite one is dealing with. For
8 instance, our experience with the treatment of *Leishmania*
9 *tropica* is first we don't require hospitalization for the
10 treatment of a cutaneous lesion of *Leishmania tropica*.

11 Most patients with *Leishmania tropica* have a single
12 lesion or if they have multiple lesions, the multiple lesions
13 are the result of multiple bites rather than the result of
14 dissemination.

15 I exempt from this, of course, the diffuse
16 cutaneous leishmaniasis which is another story. So, we don't
17 really feel that there is systematization in infections
18 from *Leishmania tropica* as such.

19 Secondly, local treatment, not necessarily excision
20 but if you have a single lesion which is very recent,
21 obviously incision has worked, and we have not been able
22 to see patients -- we have not seen patients who have relapsed
23 afterward, but even local treatment with cryotherapy, like
24 with the use of carbon dioxides now or even liquid nitrogen
25 has been very successful. It is very simple to use. It can,

1 of course, be available in the field. The treatment could
2 result in well determined scars rather than left to the
3 scar of the infection itself. So, consequently one gets
4 better cosmetic results and of course very effective therapy.

5 Even patients who have a recidivans infection or
6 a chronic leishmaniasis, and these are rare cases in which
7 there is a prolonged infection as in the chronic Leishmania,
8 for instance which might last even for 15 or 20 years, the
9 treatment with local cryotherapy could be very, very effective.

10 Thirdly, our experience with Camolar agrees with
11 the experience mentioned here. We have, also, about a rate
12 of 80 percent improvement beginning two months after a single
13 injection, but this is limited again to the treatment of
14 acute cutaneous leishmaniasis and by acute cutaneous
15 leishmaniasis we imply that lesion that heals spontaneously
16 within a year.

17 We have not had any success with Amphotericin B
18 in Leishmania tropica. We have used it just on an investigational
19 basis, and we don't really feel the justification of using
20 even the antimonials or the Amphotericin B which are in
21 themselves more toxic and produce more morbidity than the
22 actual lesion of Leishmania tropica, cutaneous leishmaniasis
23 lesion.

24 DR.WALTON: It has always bothered me that the
25 last resort of treating military patients with leishmaniasis

1 in Panama has been excision of the lesion. In the series
2 that were treated at Gorgas Hospital there were quite a few
3 which at the end of the CDC protocol still had lesions in
4 which you could culture organisms. The lesion was excised.
5 It solved the problem, and the patient was sent on his way.

6 To me this is the best indicator of the effect of
7 chemotherapy. You have got your parasites localized in one
8 spot. You cannot kill them with drug therapy. To excise
9 the lesion at the time you give the course of therapy, I
10 think is defeating your purpose. You are doing away with the
11 best indicator of therapy that you have. I think one of the
12 recommendations that we ought to make in an area where there
13 is risk of braziliensis infection is that the excision not
14 be used.

15 DR. BENENSON: I am not clear on the point you
16 are making, Brice. You say that if you give drug you are
17 eliminating your best criterion if you are excising --

18 DR. WALTON: Yes. If you have parasites at the
19 site of your initial lesion and they persist after treatment,
20 it is a very good indication that your treatment has not been
21 adequate. However, if you excise those lesions at the time
22 you give your drug I see no reason for the belief that some
23 of these physicians apparently have that the parasites in
24 another location will be eliminated if they are not eliminated
25 from the primary ulcer.

1 DR. BENENSON: Oh, in other words, if the
2 particular parasites are refractory to the drug you are not
3 achieving anything at other sites by giving the drug, and
4 you might as well cut it out and if there is anything else
5 worry about it later if it shows.

6 DR. WALTON: I am not convinced that this is
7 justified. We are looking in Panama at the apparent
8 incidence of mucocutaneous involvement and it is very low,
9 and whether this is due to having two types of Leishmania
10 which are infecting people and only the rarer type causing
11 mucocutaneous lesions or whether it is a variable host
12 response I don't know, but it is very obvious that there is
13 much less, much lower percentage of people infected with
14 leishmaniasis in Panama who develop secondary espundia than
15 there is in Brazil or anywhere down the east side of the
16 Andes.

17 So, we are fortunate that we are dealing with a
18 parasite where this danger is minimal, but I think it would
19 be very dangerous to develop policies based on the experience
20 in Panama when we might have people exposed in other areas.
21 Therefore this would be the worst possible measure you could
22 take in terms of judging whether or not the patient is
23 adequately treated.

24 MAJ. HENDRICKS: One patient we have identified
25 puts together most of these doctrines into one patient. He

1 has now been infected for over 20 months. His lesions were
2 treated twice with x-ray, resolved themselves temporarily,
3 reappeared somewhere in the initial area of the original
4 lesion, received another dose of x-ray, and they resolved
5 temporarily and then reappeared, received a course of Flagyl,
6 unsuccessful, surgically excised the lesion. It appeared
7 a slight distance away again and then received a course of
8 Pentostam, was found culture positive and now received a
9 second course of Pentostam and has been culture negative for
10 over a month now. That is almost the entire gauntlet of
11 possibilities.

12 DR. BENENSON: I did not hear the baseball bat
13 involved there. I think the lesion should have been hit
14 once or twice and see what happens.

15 Any other -- Dr. Marsden?

16 DR. MARSDEN: To just raise this typical question
17 in your protocol, of course, you are basing your subsequent
18 treatments with Pentostam on the results of your cultures.
19 Now, the doctrine, of course, is that you give the three
20 series because nobody was doing cultures like this before,
21 and of course, yours is an investigational trial, but I mean
22 I am always bothered about the possibility to put it very
23 simply and rather interestingly possibly, but the first
24 course knocks down the parasites by 80 percent of the
25 population and the next course gets, say, 15 percent and

1 the last course eradicates the other 5. If it is a situation
2 like that, of course, and the cultures -- and you don't know
3 what the degree of sensitivity of the cultures are at this
4 point, you could, in fact, end up with a group of patients
5 that are partially treated, as it were, but go on years later
6 to develop problems, and your difficulty is to decide whether
7 you are going to do the three courses all at once or you
8 are going to do this sort of thing -- fortunately, it is
9 your problem, not mine.

10 DR. BENENSON: But you have to help solve it.
11 That is what you are here for.

12 Dr. Gunning?

13 CAPT. GUNNING: This is a terribly difficult thing
14 to talk about because we have so much baseline information
15 that is lacking. For instance, I am willing to make a few
16 givens, and I am not sure whether I should be doing that or
17 not, that the spontaneous incidence of mucocutaneous
18 leishmaniasis in Panama is less than it is in Brazilia, and
19 the minute I have said that, I really don't know if I have
20 said anything correct or not.

21 The real problem that we really cannot come to
22 grips with in experimental design for the practical purposes
23 of the problem at hand is that we are dealing with strain
24 differences. We are dealing with host differences. We are
25 dealing with, for instance, observations in animal models

1 that the Leishmania of a mouse will survive in the macrophage
2 of a rabbit, whereas the Leishmania of the rabbit will survive
3 in the macrophage of the mouse and not vice versa. What we
4 are really dealing with is a macrophage problem.

5 Now, what is Pentostam doing? Is Pentostam turning
6 on our macrophages so that we can kill the leishmania? Does
7 it do so imperfectly on the first course and then perhaps
8 gets a little bit of boost on the second course or whatever
9 other drug we throw in, and I don't know how to evaluate that,
10 because really I will come back to my major premise, I think
11 this experiment that Dr. Canfield is going to do will be
12 a howling success if he has 100 percent eradication, but
13 anything short of that is really not going to yield any great
14 answer.

15 DR. BENENSON: Any other comments?

16 Dr. Farah?

17 DR. FARAH: I appreciate very much bringing in the
18 macrophage into the picture of leishmaniasis because I am
19 one of those who completely agree with the fact that it is
20 not only the species of the parasite but it is certainly the
21 response of the host, and there is a lot of evidence I think
22 now to show that the type of the species of the host
23 determines the outcome of the infection with Leishmania.

24 For instance, if we take Leishmania tropica and
25 in fact, CBA in mice for instance in a certain dose, let us

1 say two million parasites you would get within three weeks
2 a definite infection at the site of the inoculation, and that
3 would last for about three or four weeks, and it would heal
4 just like the regular cutaneous leishmaniasis produced by
5 *Leishmania tropica*. However, if you inject from the same
6 culture, the same number of organisms into the above C(?)
7 mice, you will get an unhealing lesion that ultimately will
8 metastasize. In fact, the mice, if you inject them at the
9 base of the tail will ultimately even lose their tail because
10 of the severity of the infection, and you have a complete
11 non-healing ulcer that is produced with evidence of metastasis,
12 and I think there is a lot of evidence now that is appearing
13 with the use of macrophages and macrophage cultures and
14 studying the relationship between the particular parasites
15 and the different macrophages that show that there is a
16 peculiar adaptation between a particular species of the
17 organism and the particular host, for instance, the presence
18 of certain receptors, the non-killing of the parasite in
19 certain species, the parasite possibility of multiplication
20 within a particular species of macrophages.

21 I think at the present with the availability of
22 macrophage cultures and possibility of infecting macrophages
23 with the various species of parasites it may be possible that
24 one can study the question of response to the parasite, of
25 the parasite to the drug or the response of the macrophage

1 to the drug and what is actually happening could be maybe
2 worked out in this system.

3 DR. BENENSON: All right. Let me read you something
4 which would be the beginning toward a consolidation of an
5 hour's discussion.

6 In answer to Colonel Cutting's question we might
7 respond thusly: Pentavalent antimonials are presently the
8 most appropriate therapeutic regimen for individuals
9 developing disease. Amphotericin B is a valuable drug,
10 especially for mucocutaneous disease. The differences in
11 leishmanial strains requires that the design of therapy must
12 depend on the characteristics of the disease as seen in the
13 locale where the infection is acquired, for example, in
14 certain areas simple excision or cryotherapy constitute
15 adequate therapy which in other areas would constitute
16 dangerously inadequate therapy.

17 DR. MARSDEN: It does not sound very helpful really.

18 DR. BENENSON: I have to ask if that is or is not
19 helpful. In other words, I think that is the sense of what
20 I have been hearing, that it depends on where you got the
21 infection. If you got it in Brazilia you will not handle it
22 they way you will if you got it in Costa Rica or if you got
23 it in the Middle East. If you got it in Panama you are not
24 going to handle it very differently than you would if you got
25 it in Costa Rica, for example, going into local geography.

1 Dr. Gunning?

2 CAPT. GUNNING: Dr. Benenson, I would like to say
3 from a pragmatic point of view I am happy with that statement.

4 DR. MARSDEN: I would like, for instance, that
5 bit about Amphotericin, I think it should be said that if
6 adequate pentavalent antimonials have not been successful in
7 the case of mucosal disease Amphotericin --

8 DR. BENENSON: That is what I had originally when
9 I started to write.

10 DR. MARSDEN: Yes. The other thing --

11 DR. BENENSON: If pentavalent antimonials fail,
12 Amphotericin B is a valuable drug, especially for muco-
13 cutaneous.

14 DR. MARSDEN: Yes.

15 DR. SIMPSON: Dr. Benenson, I would like to ask
16 a question, again, out of sheer innocence, I think, of
17 Dr. Marsden. Considering the pharmacologic effects of
18 Amphotericin, a point that Dr. Gunning alluded to earlier,
19 would there be any rationale in using this drug concurrently
20 with the final or third course of Pentostam?

21 If you have had two courses of Pentostam that have
22 obviously failed with culture proof of that why not give
23 concurrent --

24 DR. MARSDEN: The problem is, you see, as I said,
25 in our form of leishmaniasis in the area we just don't have

1 the success. I think we would have more success, say, if you
2 were doing the cultures, but I am sure it would not reach
3 what you are getting in your people from Panama. It is
4 difficult ground, and therefore the clinical evaluation is
5 important. These people improve gradually, so that if you
6 are giving three courses of Glucantime, a 10-day course and
7 then a fortnight's rest, often by the time you reach the
8 third course, still they are only just beginning to improve,
9 and they go on improving after the third course. So, it would
10 not be justifiable to combine the third course of Pentostam.

11 I think you have got to wait and see whether they
12 are going to respond to the pentavalent antimonial which
13 brings me to another possible modification which is, you know,
14 it rather worried me when it was said here from the Chair
15 that it really is my responsibility to try to work out
16 something for this study. It is not that I can, but obviously
17 your situation is different to mine, and it might be perfectly
18 logical and justifiable for you to give one 10-day course
19 to your people in Panama, because the great majority probably
20 will heal, and it will never come back.

21 It would not be justifiable, I want you to know, to
22 do that in Central Brazil where I think three courses should
23 be given.

24 So, the point is once you get into splitting it up
25 into the areas of the world it becomes a very long recommendation,

1 but your comments are entirely different again, aren't they?
2 I mean you would not dream of using Amphotericin or antimony.

3 DR. FARAH: Except if somebody has really many
4 lesions, otherwise no.

5 DR. BENENSON: Dr. Gunning?

6 CAPT. GUNNING: Dr. Marsden, are you saying that
7 for your Brazilian cutaneous leishmaniasis you are giving
8 three courses of antimony back to back regardless of whether
9 they are mucocutaneous lesions or not?

10 DR. MARSDEN: Yes, we have got so much mucocutaneous
11 disease, yes, we are doing that.

12 CAPT. GUNNING: Wow.

13 DR. BENENSON: That, of course, is the sense that
14 I have tried to get in here. I don't see how we can this
15 afternoon give a geographic glossary of the ideal therapy
16 in every community, but it seems to me that if troops are
17 going into an area it becomes the responsibility to establish
18 what is known in the literature of that country with regard
19 to leishmanial therapy.

20 Dr. Walton?

21 DR. WALTON: I think it might be appropriate now
22 to mention, and it might be begging the question a little bit,
23 but the TB MED(?) on leishmaniasis that the Army had, and
24 I believe it is dated 1947, and I would venture to say that
25 90 percent of the military physicians who have a case of

1 leishmaniasis never think about looking for a TB MED for
2 guidance. So, this very useful tool for guidance is just not
3 available right now in the Army. So, possibly a secondary
4 thought might be to suggest that someone bring this information
5 together in the form of a TB MED. I can say this now. I
6 was afraid to mention it for many years because I knew what
7 would happen.

8 CAPT. BROWNLOW: That TB MED was '45 and revised in
9 '47, General Eisenhower, Chief of Staff of the Army signed
10 it, but the entire thing is concerned with visceral
11 leishmaniasis. It only casually mentioned cutaneous and
12 does not offer any therapeutic or very little information
13 whatsoever.

14 DR. WALTON: It is based on North Africa-Mediterranean
15 experience.

16 DR. BENENSON: I don't think that is an inappropriate
17 recommendation for us to make to the services.

18 CAPT. GUNNING: Dr. Benenson, I mentioned to
19 Dr. Simpson on the way over here this morning that I was
20 at the USC library Friday looking for a World Health
21 Organization TECRUP(?) series, and there is not anything
22 in the last 10 years on cutaneous leishmaniasis from that
23 source.

24 DR. BENENSON: Shall I add, and remember I am
25 merely trying to write what the Committee wants me to write.

1 I am just the synthesizer.

2 Dr. Schultz?

3 DR. SCHULTZ: Well, you mentioned WHO and it made
4 me think of their new tropical disease research training
5 program, and one of the six diseases is leishmaniasis, and
6 I presume that they do have the technical group on this that
7 is operative and making recommendations, but I don't know
8 who the individuals are.

9 DR. WALTON: There are two members of the WHO
10 scientific working group here in this Panel.

11 DR. BENENSON: It is recommended that the TB MED --
12 do you know the number? I don't know why 47 came to mind.
13 That is probably something I -- well, the TB MED on
14 leishmaniasis be brought up to date to include appropriate
15 information. Is that vague enough?

16 Is this then the sense of the Committee? I will
17 read it again. Pentavalent antimonials are presently the
18 most appropriate therapeutic regimen for individuals
19 developing disease. If these fail, Amphotericin B is a
20 valuable drug, especially for mucocutaneous disease.

21 The differences in leishmanial strains require
22 that the design of therapy must depend on the characteristics
23 of the disease as seen in the locale where infection is
24 acquired.

25 For example, in certain areas simple excision or

1 cryotherapy constitute adequate therapy which in other areas
2 would be dangerously inadequate. It is recommended that the
3 TB MED on leishmaniasis be brought up to date to include
4 appropriate information.

5 Dr. Walton?

6 DR. WALTON: I would prefer to add, say, that
7 pentavalent antimonials is the most appropriate therapy
8 but it is not completely acceptable or effective, and there
9 is a need for improved chemotherapy. I think this might
10 give someone some maneuver room to get support to continue
11 work for new agents.

12 DR. BENENSON: I tried to imply that by saying, "Is
13 presently the most." In other words, I was hoping the word
14 "presently" indicated that we don't think it is the ideal
15 but as of now it is the best we have.

16 Any other points? Are you satisfied with my
17 emphasis on presently.

18 DR. WALTON: Yes.

19 DR. SIMPSON: Would it be appropriate to include
20 a negative comment on the drugs that may possibly be used
21 which are still available and which have had some flourish
22 in popularity, at least in the literature? I am thinking
23 particularly of metronitazol(?) in a negative way that these
24 are not effective and should not be used?

25 MAJ. HENDRICKS: Still regardless of the studies

1 that have been published you still get individual cases
2 reporting success with metronitazol or whatever here in the
3 United States. You get this fairly frequently, one or two
4 cases.

5 DR. BENENSON: What I am busy cogitating is if we
6 name something else will somebody read that and say, "Hey,
7 metronitazol is something they listed as a therapy,"and they
8 will miss the negative. This is what I am hesitating on.

9 DR. MARSDEN: Because there is no organized drug
10 program on leishmaniasis when any drug for parasitic disease
11 comes out it gets tried, and of course, it might be useful
12 as has been pointed out to mention the recent drugs of
13 parasitic disease which almost have a vogue. They are always
14 tried in all sorts of things, aren't they? So, it might be
15 worth while taking those ultimate ones. For instance, we have
16 been working recently on lantig(?) which got favorable
17 reports. It is useless.

18 It is not quite useless, but it is no good in
19 comparison to this.

20 So, if we had, say, Flagyl, lantig, and Rifampicin
21 was raised here, useless, tried in the Andes and it does not
22 work.

23 DR. BENENSON: What about a generic statement
24 that while many other drugs have been tried, no value has
25 been shown. Now, that does not entirely fit Camolar, but

1 I hear none of you today saying that that is the drug that
2 should be put in use.

3 The inadequacy in this therapy I tried to imply
4 a while ago on the hospitalization. We need certainly in the
5 non-military world an oral drug that can be given to the
6 person who works out in the jungle where he gets the infection.
7 We haul them into the city for at least a two-week period.
8 He loses his pay. He has to pay for living there. It is
9 a great financial, economic crisis for the person who gets
10 leishmaniasis.

11 All right. I will add "Many drugs have been
12 reported effective on inadequate trial." How is that?
13 They have failed adequate trial. They have failed when
14 properly tested, and then we are not naming anything.

15 All right. Are we all happy with this?

16 Not hearing any objection we will proceed to the
17 -- yes, all right?

18 DR. MARSDEN: It is just the ultimate sentence,
19 whether you should actually put in that they have failed
20 when they have been properly tested because some of these
21 have never been properly tested.

22 DR. BENENSON: I know that.

23 DR. MARSDEN: I don't think there has been a
24 proper test of Flagyl. It depends on what you mean by
25 proper, what criteria you adopt. You could get someone writing

1 in and saying, "What was the proper trial?"

2 DR. BENENSON: Fair enough, but I began to get
3 worried when I had found myself having written, "Many drugs
4 have been reported to be effective on inadequate trial."
5 This might say, "Let us go ahead and try it." It has been
6 found to be effective, been reported to be effective. That
7 is why I -- really, I have many drugs of questionable
8 value have been reported to be effective on inadequate trial.
9 Somebody British should be writing this. They are the
10 people who handle the language.

11 DR. FARAH: Why not say that they have been
12 found effective in initial trials but not that many maintained
13 this on subsequent long-term use or something like that?

14 DR. BENENSON: Why not this? Many drugs of
15 questionable value have been reported to be effective on
16 inadequate trials.

17 DR. MARSDEN: Why not say, "Many drugs have been
18 reported to be effective, but the trials are inadequate."

19 DR. BENENSON: I don't see that that is different,
20 but this implies they may still be effective.

21 DR. SIMPSON: I think I have created a monster.

22 DR. BENENSON: Yes. I begin to think it is bigger
23 than the problem.

24 DR. SIMPSON: I would suggest that the sense of
25 this is agreed upon by all of us, perhaps, and maybe an

1 overnight stay will bring out the most felicitous phrase.

2 DR. BENENSON: Fortunately, we have an executive
3 secretary who does not have anything to do for 24 hours.
4 He only has the full meeting.

5 All right. Let us move on to the next problem.
6 Colonel Cutting, does that essentially answer your question?
7 Does it create more confusion to you?

8 COL. CUTTING: To be perfectly honest, sir, I think
9 it is as close an answer as we are going to get. I think
10 perhaps the most important thing that has come out of this
11 is the fact that we have got to decide on a therapy on an
12 individual case basis in terms of where they acquired the
13 disease, therefore, which parasite they are probably infected
14 with. We cannot come up with a single therapeutic regimen
15 which can be applied across the board with uniform results.

16 DR. BENENSON: I think that is the sense of what
17 I have been hearing. I think in some areas you would be
18 grossly overtreating your patients unnecessarily.

19 COL. CUTTING: I think it is probably expecting
20 too much as you suggest to have you run down every single
21 area of the world that has leishmaniasis.

22 DR. BENENSON: Major Hendricks is all prepared to
23 do that. He is revising the TB MED now that we have asked
24 for it.

25 B. Is medical follow-up of all exposed personnel

1 indicated? Now, if we use Carl Johnson as a point of
2 departure, he has a fairly short answer to this. All
3 individuals who are stationed in endemic areas should be
4 advised of the danger of infection with cutaneous leishmaniasis
5 and of the methods of prophylaxis. They should be informed
6 to see a physician should a skin lesion or lesions develop
7 while in or after leaving the exposure area. The incubation
8 period varies, but ordinarily the lesions develop within
9 three weeks to two to three months after infection.
10 However, any lesion developing within a year after exposure
11 should be suspected as possible leishmaniasis, and serological
12 follow-up is not recommended.

13 Now, Dr. Johnson brought me the Public Health
14 Service yellow card that said essentially this. This is what
15 I am talking about. You tell the traveler that if you
16 develop any of these following symptoms, you call one of these
17 telephone numbers or you go to your doctor and tell him to
18 call CDC and tell them that you have got these signs and
19 symptoms.

20 Okay. That is a whipping horse.

21 Dr. Schultz?

22 DR. SCHULTZ: The health alert card is rarely
23 handed out.

24 DR. BENENSON: I know that. I know that, but it is
25 the same principle. You tell the man, "Okay, you have been in

1 Panama. It is possible you are going to develop" -- or you
2 are coming to Panama. While you are there, there are a lot
3 of sandflies, and if you use a repellent there won't be any
4 problem, and if you are out at dusk, you are in danger, and
5 when you get out of here, if you develop a sore, a lesion
6 that does not heal within a couple of days, go to sick call
7 and tell them you have been in Panama in a tropical training
8 exercise period.

9 DR. SCHULTZ: I did not mean to disagree with
10 the principle. The principle is an excellent principle and
11 should be done with other diseases like malaria.

12 DR. NEVA: I think the statement here about the
13 usual incubation period, three weeks up to about two to three
14 months, but then any lesion that develops within the year
15 could be suspected of being leishmaniasis is a pretty good
16 statement. I would agree with that.

17 DR. BENENSON: I think the whole thing is good.
18 Dr. Simpson?

19 DR. SIMPSON: I think in view of the uncertainties
20 and absence of agreement on prophylactic measures in
21 general, especially the chemoprophylactic ones, it might
22 be better to change that particular phrase, "methods of
23 prophylaxis" to "individuals should be advised of the
24 precautions that should be taken to avoid exposure to
25 sandfly bites."

1 DR. BENENSON: All right. I think that is right.
2 That is precisely what he was thinking of because there is
3 no chemoprophylactic practice.

4 Dr. Takafuji?

5 MAJ. TAKAFUJI: Yes, two points. In essence this
6 is what is being given out as information to troops who are
7 going down to Panama now, certainly the ones from Ft. Bragg,
8 but what I have question about is for example individuals
9 who are going to other areas where we are talking about more
10 serious forms of the disease, for example, Brazil, for
11 example, individuals who are going to the Brazilian jungle
12 warfare course where we know for a fact that we have seen
13 much more disease, much higher attack rates. Is there an
14 indication in these type of individual to do a little bit
15 more in terms of pre-deployment evaluation and so forth
16 as well as post-deployment evaluation?

17 CAPT. GUNNING: How much more?

18 DR. BENENSON: A lot more.

19 MAJ. TAKAFUJI: This is what I ask you.

20 CAPT. GUNNING: No, I mean how much more attack
21 rates are you getting?

22 COL. NOWOSIWSKY: For the past 18 months five
23 individuals went to Brazilian jungle warfare school. Of
24 those five individuals, four have been located, and all
25 four were discovered to have leishmanial lesions, cutaneous

1 leishmanial lesions.

2 DR. BENENSON: Developing frank lesions?

3 COL. NOWOSIWSKY: Frank lesions. They all had
4 them when they were discovered.

5 So, the fifth one has not been located yet, but for
6 all practical purposes you can consider 100 percent attack
7 rate. They have been in the jungle anywhere from several
8 weeks to three months.

9 DR. MARSDEN: This is Manaus, is it? The
10 entymologist at Manaus at the National Institute of Research
11 has four personnel, himself and three technicians, and
12 the incidence in his staff is 120 percent. He has had two
13 individual lesions, one on each elbow about three months
14 apart. He has had it twice. So, the incidence is 120 percent.

15 DR. BENENSON: What therapy did they --

16 DR. MARSDEN: They took antimonials.

17 DR. BENENSON: But their lesions, and the lesions
18 of your men were manifest lesions?

19 DR. MARSDEN: Yes. One wonders what the Brazilian
20 military are going through there because you never hear
21 anything in Brazil. How many of the Brazilian military
22 are doing maneuvers in the jungle?

23 DR. WALTON: There was one published report, if you
24 remember, of an airdropped battalion. What was that? That
25 was 90-some percent.

1 DR. BENENSON: Isn't that asking for improved
2 insect repellents and so on and so forth, improved
3 indoctrination of personnel on how to avoid sandfly bites?

4 COL. NOWOSIWSKY: I would like to defer this
5 question to Colonel Moussa who will give some information
6 pertaining to our present plans.

7 COL. MOUSSA: I have been reviewing the information
8 available in the literature about repellents and also some
9 of the recent advances on this subject. I was able to come
10 across -- just a little background here -- only one single
11 test which was conducted with repellents in the Canal Zone
12 which was done in 1958.

13 The report is not published. It is a manuscript,
14 but because the results were inconclusive it was not
15 published, but nevertheless, it was the only trial that was
16 documented that I was able to put my hands on.

17 Now, we have heard, also, reports coming out from
18 the field with some of our troops who are going through
19 jungle training who are reluctant to use the repellent,
20 indeed, which we have in the military system which is
21 available for their use, and the reason which was offered
22 that they do camouflage at night and they put this black
23 stuff on their faces, and when they apply the repellent it
24 smears and becomes soggy, and they just don't like the feel
25 of it. So, to play warfare and games at night they would

1 rather be camouflaged than sticky.

2 So, we cannot tell for sure just to what extent
3 the repellent has been used.

4 There are some recent advances which we became
5 knowledgeable of. We do have cooperative arrangements with
6 the Department of Agriculture at Gainesville which Medical
7 R&D Command supports. This is the Army Medical R&D, and they
8 have been experimenting with some new synthetic pyrethroids(?)
9 These compounds are toxicants, by impregnating the fatigues,
10 uniforms. They were testing those toxicants to see if they
11 do indeed have some repellent action or activity. They did.

12 When they treated the repellents with this
13 particular compound, the name of it is permethrin(?), in
14 combination with the dye(?) being applied to the bare skin
15 and they went in the salt marshes here with mosquitoes,
16 right here in Florida, not only that mosquitoes were knocked
17 down quickly, but after they moved into the area with their
18 fatigues on, there was enough spatial repellency, this is in
19 the atmosphere, that kept mosquitoes out of the area, not
20 just mosquitoes, but they also got observations and records
21 on biting flies, deerflies, horseflies and so forth which
22 are very persistent biters. These are very gratifying
23 because in their test they, also, tested to see how durable
24 this treatment is in the fatigues. Those are the OD fatigues
25 and they experimented with 100 percent cotton fabrics which

1 they were able to get. In the military we have 50 percent
2 cotton and 50 percent polyester.

3 What is so unique about this is that they did some
4 weathering testing by treating the fatigues and leaving them
5 out in the open, and they tested these periodically to see
6 whether they do remain effective, and they found out that
7 after one month's treatment, weathering effect in the open,
8 they remained 100 percent effective.

9 Also, they ran those in a special tumbling machine
10 to see whether they can resist rinsing. They were able to
11 demonstrate that the treatment was 100 percent effective
12 against mosquitoes after 33 to 50 cold rinses.

13 They, also, took some patches of cloth, and they
14 treated them with the same toxicant, and they put them out
15 in a special cage, and they simulated rain, and they exposed
16 the treated cloth to nearly the equivalent of 50 inches
17 of rain. The material remained effective, 100 percent.

18 They tried that with soapy water. That is where
19 the material did not pan out in the test. It withstood
20 two successive soap and water treatments. I got in touch
21 with the Department of Agriculture, our peers there,
22 counterpart, and asked if they had contacted the company
23 that provided them with the initial compound, this
24 permethrin, and they believe that the soap wash treatment
25 which was harsh on the impregnation test can be overcome, at

1 least that is their assessment, can be overcome with
2 improved formulation, and the company now is experimenting
3 with an emulsifiable concentrate formulation that probably
4 could do the job. We are not sure.

5 So, another interesting feature, also, about this
6 material, they noticed that if you use the repellent alone
7 and walk in the salt marshes, you get a given protection time
8 of maybe an hour or two hours. You get potentiation or
9 enhancement of your protection time, a prolongation of that
10 period if you wear the uniform with this treatment, and
11 I believe it was an increase of nearly 50 percent of your
12 total protection time on the skin on the exposed skin if
13 you wear the treated uniforms.

14 So, we feel here that maybe this is something that
15 ought to be looked into. We do not believe that anyone
16 has tried to test these fabrics or these uniforms in the
17 Canal Zone, and we feel maybe we ought to look into this.

18 All right, now talking about the toxicological
19 evaluation of the material, while the Department of Agriculture
20 is working on this, they were working on a series of
21 compounds. Part of it was for development of an aerosol
22 for this insecticidization of aircraft when we bring aircrafts
23 from overseas.

24 A lot of the toxicology which is needed for all
25 this series of synthetic pyrethroids has been turned over to

1 the Army Environmental Hygiene Agency. They do have a
2 Department of Toxicology there, and they do provide under
3 a memorandum of understanding between the Army and the
4 Department of Agriculture, they provide them with this
5 toxicological evaluation and support, and from all indications
6 so far on the tests that have been conducted at the agency,
7 the material does not irritate the skin. There is minimal
8 absorption, and they do not envision any problem safetywise.

9 CAPT. BROWNLOW: The marines have had the repellent
10 jacket for approximately two years now. It works very well,
11 but Dr. Quinlan tells me they refuse to wear it because it
12 smells, and they are afraid it will give away their position.
13 It is impregnated with DIT(?)

14 LCDR. QUINLAN: The practical point that in the
15 jungle warfare, that our marines are just concerned that the
16 abnormal smell of the insecticide is a deterrent to their
17 own health. They would much rather face the insects than
18 believe that their own position is given away. It is just
19 the practical point of the consumer.

20 DR. BENENSON: This, of course, is not new.
21 Dr. Moussa?

22 COL. MOUSSA: I have had the chance when I was in
23 Thailand when this problem of DET or the repellent being
24 detectable by Vietcongs to look into this problem. I did
25 some field testing right in the jungle of Thailand which is

1 comparable to what we had in Vietnam where we literally got
2 natives and also US servicemen. All these people applied the
3 repellent like we would recommend them to use it. We had
4 them bunched up in groups and also in single file. We had
5 sniffers for the test to see if, indeed, they can tell. We
6 had to, of course, use controls with an ethanol or even
7 plain water, and we could not demonstrate with any factual
8 figures the ability of somebody to detect an odor. They
9 couldn't detect it. The only way, when you have a whole
10 company, maybe, in one given area and you are that close.
11 In fact, there are some sniffers who failed to detect the
12 repellent when it was about 10 inches away from their nose.

13 DR. BENENSON: The power of the rumor. In World
14 War II, Atebrin was unused in many areas because Tokyo Rose
15 said that it would produce impotence. It was not used.
16 It sounds as though you have got something there that requires
17 test, and I am sure that you are developing plans for it,
18 one of which is that one of these tests of the marine point
19 by giving it on these maneuver exercises with aggressor
20 independent forces to see whether they can smell it. That
21 is the answer.

22 Dr. Walton?

23 DR. WALTON: To get back to the question of follow-up,
24 are you finished with that?

25 DR. BENENSON: That is what we are discussing right

1 now, the follow-up of the Brazilian.

2 DR. WALTON: Dr. Takafuji accounted their study.
3 You definitely encountered lesions in troops who had not gone
4 to sick call by conducting a physical inspection, and in the
5 1965 study we did after being alerted, having a certain number
6 of cases in sick call, we had one of the old raincoat
7 combat boot formation inspections in each of the battalions
8 and cases were detected in all three battalions at that time.

9 So, I think that in cases where you have a complete
10 unit with unusual exposure it might be appropriate after one
11 month or so to conduct that type of inspection.

12 DR. BENENSON: How do you define that degree of
13 exposure?

14 DR. WALTON: Known hyperendemic areas.

15 DR. BENENSON: Everybody in Panama?

16 DR. WALTON: No, I don't think that is true, but
17 overnight bivouac, more than one day in a jungle training
18 situation, and it is not all over Panama, but before we lost
19 the Rio Atto(?) training area for example, there was much
20 more training there than there was in the Canal Zone, and we
21 were never able to confirm a single case from there for
22 example. It is in certainly fairly circumscribed areas.

23 If you are not really familiar with the area you
24 might not know where that is, of course, but certainly in
25 the Canal Zone you know where the majority of the cases are

1 coming from.

2 DR. BENENSON: Maj. Hendricks?

3 MAJ. HENDRICKS: One of the problems, Dr. Walton,
4 that Dr. Takafuji related to briefly is that in this calendar
5 year alone we are talking about exposure of 10,000 people
6 based on our current projected training sites. So, it becomes
7 a logistical problem to round them up to look at them.
8 It could be done.

9 Also, one of the other problems is emphasis from
10 the top down to the medical personnel that examine these
11 people, primarily the paramedical personnel. If a combat
12 troop, the 101st or 82nd Airborne or some of the marine
13 divisions turn in with something as minuscule as these small
14 papules that we have seen they would be laughed at and sent
15 back to the field immediately as a malingerer, and probably
16 would have a very hard time getting any attention for
17 something that small.

18 DR. BENENSON: I think that you are hitting on a
19 slight feeling of mine, that we are overaccentuating
20 the importance of the minimal lesion. Now, a major lesion
21 is another matter. If he has a large ulceration, he is going
22 to come in by himself, but we are looking for those
23 evanescent lesions that are only found when you seek them.

24 Now, if you look at the statistics you will see
25 where studies were done, and then the rates are very low

1 because those are the ones who come in on their own power
2 because they have something they are worried about, and then
3 this year we have a big peak again because 10 people were
4 found, none of whom would have reported in at that time. I
5 don't know what would have happened if they had not been
6 treated.

7 The question has been made, how many of them would
8 have cured themselves completely without therapy, so that if
9 it becomes a matter of lining up 10,000 men during the
10 course of the next year once or twice, this becomes a major
11 task against which we always have the line opposition to
12 taking troops out of their normal function. "The damn
13 medics tie us up all the time," so that while I recognize
14 that that would disclose many more cases, I question the
15 practicality of it.

16 Dr. Marsden?

17 DR. MARSDEN: I think I would do a leishmaniasis
18 skin test. I am surprised this has not been mentioned.
19 I mean is it generally frowned on here or something? Because
20 of all the skin tests, you know, it is probably the most
21 reliable, and it is a pretty simple thing to do.

22 DR. BENENSON: Where do you get it?

23 DR. MARSDEN: We make our own in Brazilia.

24 DR. BENENSON: We do, too, but where does the
25 Army get it.

1 DR. MARSDEN: The Army cannot use it?

2 DR. BENENSON: No.

3 DR. MARSDEN: Why not?

4 DR. BENENSON: Dr. Russell, do you want to comment
5 on that?

6 COL. RUSSELL: There are two skin tests we have
7 looked at in terms of availability. One is the soluble
8 antigen preparation that was made by Parke Davis a few years
9 ago for which we hold an IND and for which there is
10 functionally no efficacy data and no way to evaluate potency.
11 We are looking into the possibility of re-evaluating that,
12 and the other issue had to do with the Montenegro type
13 antigen, said to be made by Burroughs Wellcome, but several
14 inquiries to Burroughs Wellcome regarding -- I think the
15 first inquiry we asked them whether they would be interested
16 in submitting an IND, and they said, "No."

17 We went back to them and said, would they take a
18 contract to submit an IND, and we have not heard from them,
19 and there seems to be a considerable reluctance on their
20 part to respond, and looking into the rules and regulations
21 under which biologicals can be administered to people in the
22 United States, including soldiers, it seems to be very
23 difficult to use anything that does not at least have IND
24 status and to get a present-day IND on a skin test antigen
25 looks to be a considerable undertaking.

1 DR. BENENSON: What data do you have to provide for
2 an IND which is an investigational use?

3 COL. RUSSELL: You have to have some evidence of
4 efficacy, some way of measuring potency and a reasonable
5 estimate of its safety.

6 DR. BENENSON: Isn't that what you do under the
7 IND, except for the safety? You would have to show safety
8 before you could apply it to the men.

9 COL. RUSSELL: You usually have to have some measure
10 of potency other than just human trials. One of the
11 fundamental problems with all the skin test data is there
12 aren't any large-scale controlled double blind trials to
13 indicate efficacy in a situation like this, at least I have
14 not been able to find any information that says that there
15 is such a trial published. There are a lot of anecdotal
16 ones and there has been a lot of use of skin test antigens
17 in an individual clinical situation. I am not denying the
18 usefulness to the clinician in making the diagnosis, along
19 with other diagnostic tools, but I have been beating on my
20 staff for the last two months to provide some data that
21 supports the contention that a double blind study or any
22 kind of a controlled study has been done with any
23 leishmaniasis skin test antigen that evaluates its efficacy
24 in terms of either diagnosing infection or diagnosing
25 disease, and the literature so far has not produced such

1 information.

2 I leave it to the experts to tell us where we have
3 missed.

4 DR. BENENSON: Brice, isn't there data relating
5 Montenegro results with serological results? The correlation
6 sometimes is bad. This is true.

7 COL. RUSSELL: Correlation with whose serologic
8 results?

9 DR. BENENSON: Does that answer your question?

10 DR. MARSDEN: I understand why you have not got it.
11 I think it is regrettable because I think this is one skin
12 test in parasitic disease that has great value, particularly
13 in leishmaniasis in South America. If that data does exist,
14 hereafter, I would suggest two sources, Letisis and Roteberg
15 from Rio, in which it was just about Montenegro antigen and
16 then of course, have you looked in Leishmaniasis Tegmentarium
17 Americana, Purcell and Beratta? It is a great big book. Oh,
18 it is like this, where Purcell and Beratta go through all
19 the studies. So, I think you will find that Purcell himself
20 was one of the first men to -- he did a lot of schools. He
21 was one of the first men to show, you know, that there were
22 a lot of people in these schools who had never had any
23 sign of leishmaniasis, but had a positive Leishmania,
24 and you know this raised the whole business of could you
25 get animal Leishmania producing positive results.

1 In our own practice it certainly is most valuable
2 to us, in our area, and we use it all the time. I can
3 imagine a very simple screening procedure like that would
4 help you considerably, but if you cannot get it passed, what
5 is the point in discussing it.

6 COL. RUSSELL: I don't think it is an impossibility.
7 I suspect it is worth pursuing, but I don't think we can say
8 now that it is a reasonable operational concept within a
9 year or two.

10 DR. BENENSON: Dr. Schultz?

11 DR. SCHULTZ: I would like to nit-pick with
12 Col. Russell on terminology. The term "efficacy" is usually
13 applied to drugs, whereas sensitivity and specificity of the
14 skin test would be more appropriate terminology.

15 As far as the availability of it, CDC has a number
16 of skin tests that are made in the laboratory of Dr. Kaygin,
17 skin tests for data in schistosomiasis and others.

18 We have never made a test for leishmaniasis. The
19 only person that I have known of during the past 10 years in
20 the United States who has had this test is Kevin Kaygin
21 in New York City, and he keeps pretty tight control of it.

22 We have run into the same kinds of problems
23 for producing IND's for the skin test, and it has impaired
24 the distribution of these skin tests significantly because
25 they were developed before the time of the stringent FDA and

1 Division of Biologicals' rules, and they were used, although
2 not carefully studied for sensitivity and specificity, but
3 in recent years we cannot send them out because no IND's
4 have been approved.

5 DR. BENENSON: Dr. Simpson?

6 DR. SIMPSON: We tend in general, in our
7 institution, to avoid skin tests because it will alter the
8 serologic sensitivity subsequently. I wonder if that general
9 axiom might not apply here with this particular group of
10 people who are likely to be sent into endemic areas
11 repeatedly over time, and it is hardly a one-shot deal. I
12 wonder, Dr. Marsden, if this has been measured in your
13 group, you know, the control population that has been skin
14 tested?

15 DR. MARSDEN: I have got no definite information
16 on that. The amount of antigen that is injected is very
17 small, and of course, when we think about the development
18 of this fluorescent antibody test, it takes some time even
19 in patients who are infected several months, and in patients
20 in whom we find organisms sometimes the test is negative.
21 So, I would doubt whether that would be a problem. I would
22 just like to add that there are two ways of standardizing
23 the test. It is not just the nitrogen which is what we do.
24 We do a nitrogen to microkelo(?) but Neale has recently
25 published a method standardizing it in Henriettine(?) guinea

1 pigs by inoculation to the skin of guinea pigs, and if you
2 don't get a positive response while to Ralph directly because
3 I think Ralph would come across with some valuable information.
4 This Kayhill material is from Wellcome.

5 DR. BENENSON: Major Hendricks?

6 MAJ. HENDRICKS: One of the things Colonel Russell
7 was referring to specifically in the literature is many
8 of these larger studies, earlier studies with WRAIR skin
9 test antigens, Leishmania, have almost all been conducted in
10 endemic areas. So, occasionally it might make some problems
11 in interpreting the results because these people were not
12 isolated or not moved from there to a non-endemic area or
13 something. So, there are always those possibilities, and
14 I know that one of the ones Kayhill mentioned of the
15 possibility and actually later proved in the laboratory that
16 lizard Leishmania, for example, would give a positive skin
17 test.

18 DR. MARSDEN: Proven in the lab?

19 MAJ. HENDRICKS: By actually inoculating some
20 volunteers with lizard Leishmania.

21 DR. BENENSON: Dr. Neva?

22 DR. NEVA: I don't want to prolong the discussion,
23 but I think the point that Phil brought up is one that we
24 cannot escape, and I would agree that the Leishmania skin
25 test is clinically very useful, but --

1 DR. MARSDEN: The only one, old chap.

2 DR. NEVA: But how are you going to really do a
3 study which will allow you to say that this is a specific
4 test? It is one helluva job because what you would have to
5 do is to go to a non-endemic area and inoculate in scores or
6 hundreds of people with the skin test to show that they
7 are negative. One side of the coin is to take proven cases
8 and to show that they have a positive skin test. The other
9 side of the coin is to take hundred of people who you know
10 couldn't have been exposed to leishmaniasis, and how are you
11 going to get the permission to shoot this into them and do it?
12 That is where the rub comes in.

13 DR. BENENSON: Ft. Bragg has got the answer
14 because you are going to send a battalion down. You skin
15 test them before they go, and they ought to be negative,
16 and then you skin test them when they come back.

17 COL. RUSSELL: What do you do with the information?
18 What do you do with skin test positive people?

19 DR. BENENSON: Then you look for serological
20 evidence of infection, too.

21 COL. RUSSELL: I understand the experiments. I am
22 talking about an operational concept, not experiments.

23 DR. BENENSON: I think it can be done.

24 COL. RUSSELL: The investigations can be done,
25 but Teris is asking operational questions and not

1 investigational questions, and that is the fundamental
2 difference.

3 DR. BENENSON: If we cannot give him an operational
4 answer tomorrow, we should guide him towards something which
5 will give him an operational answer for next year.

6 COL. RUSSELL: Please differentiate it.

7 DR. BENENSON: That is right. We have to
8 differentiate it. In other words, today, it is not available
9 for use here. Now, to go back to Carl Johnson, if we all
10 agree with B with a slight modification that we have made,
11 he answers some of these things. He is saying that if you
12 do a screening the diagnosis is based on them and updating
13 the organism. He goes into details on how he abrades or
14 aspirates and then he comes to the same point that Dr. Marsden
15 has just been making and that is that Montenegro is the
16 ideal technique, so that we come right full cycle back again,
17 and we say, I think we are in the position of saying that the
18 best technique that is available for screening for infection
19 is one which is not available within the United States of
20 America, and I think that the Board would recommend that
21 whatever actions are necessary be taken to establish the
22 validity of or to establish the availability for the Armed
23 Forces of the leishmanial skin test.

24 Is that the sense of the Subcommittee after we
25 have debated this?

1 MAJ. TAKAFUJI: The point to be made, sir, is that
2 when we send 650 people down, that is a lot of people to
3 screen. Of that 650, if you can identify, let us say 100
4 people that may have positive skin tests but certainly in
5 that group you would have your cases, that is going to help
6 us a lot at the MEDAC level to identify cases as opposed
7 to screening 600 people and going through them dermatologically.
8 So, the skin test does, I feel have value, understanding
9 what Colonel Russell has said about the availability of the
10 antigen and so forth.

11 DR. NEVA: I don't think it is going to help you
12 in this particular instance. There is no evidence that you
13 can get a positive skin test before you get the lesion.

14 MAJ. TAKAFUJI: I agree.

15 DR. WALTON: I just never got in any technical
16 reports because the climate got a little hot about the use
17 of skin test antigens, but we started in all good faith
18 using the skin test as a diagnostic adjunct, and we had
19 73 patients in whom skin test antigen was used in a diagnostic
20 battery, and we had, of the 65, of the 73, 65 were positive
21 both skin test and serology.

22 We had eight in whom we had positive IFA's, skin
23 test negative. We had one who was skin test positive,
24 serologic negative. Incidentally, we never could demonstrate
25 infection. I cannot explain it. We had one proven infection

1 who was skin test negative and serologically negative, and
2 all in all when the series was complete we had about 90 percent
3 skin test positive. Ten percent were skin test negative but
4 serologically positive. However, we retested these people
5 from one to three months later, and I think with one possible
6 exception they had all become skin test positive.

7 So, a rule of thumb is that skin test positivity
8 does not develop until sometime after the lesion develops.

9 DR. BENENSON: All right, but it is easier to do
10 the skin test than it is to have every man strip and do a
11 physical examination, isn't it?

12 Ernie?

13 MAJ. TAKAFUJI: I don't believe so.

14 DR. BENENSON: I am asking the people who are
15 involved on the practical level.

16 COL. BURKE: In the operation of the skin test,
17 remember, it is not an immediate test, and it is a recall of
18 651,000 people. It is very difficult to get those people
19 back in a, well, we used a 48-hour period.

20 MAJ. TAKAFUJI: I think certainly at a post like
21 Ft. Bragg you can do that.

22 DR. BENENSON: Dr. Schultz?

23 DR. SCHULTZ: It is arguably better to skin test
24 than a physical examination. I would like to emphasize a
25 serological test that if you have finite resources, I would opt

1 for investing them into improving the serologic test because
2 in general for other parasitic infectious diseases the
3 serologic tests are more precise than skin tests, and it has
4 the beauty of taking something out of the patient rather
5 than putting something into a patient which requires the
6 IND and going through the whole --

7 DR. NEVA: It requires IND's to take something out
8 of a patient, as far as permission.

9 DR. SCHULTZ: Okay, permission but not IND.

10 DR. MARSDEN: I tend to disagree on the grounds this
11 might be the one exception to that general rule. There are
12 various skin tests for parasitic disease, but the only one
13 I am working with now is the Montenegro. It is a relatively
14 simple test. There are risks to it. It is true. You have
15 got to have a lesion, but the point is you could have a very
16 small lesion that is healed, but even when you strip them
17 you cannot find. So, if you have a physical examination and
18 this aid, you would detect, I think cases that possibly would
19 slip through the net normally if you just did a routine
20 physical. I don't know whether Brice would like the FAT as
21 well. Perhaps it would not hurt to have all three. I don't
22 see why you cannot have the whole outfit.

23 DR. BENENSON: Major Hendricks? I will get to you,
24 Brice, you are third.

25 MAJ. HENDRICKS: I have one question I would like to

1 ask if anyone has any particular answers to, which is time
2 frame. We are talking about after exposure. In this
3 particular group we have known time exposure of a two and
4 one-half week period, for example. What time frame would
5 you think that if they were going to become skin test
6 positive they would become positive?

7 DR. MARSDEN: I think it is a bit variable because
8 I think after all it is a manifestation of delayed
9 hypersensitivity. I think there is a way in which people
10 develop. The rate varies, but certainly I would have thought
11 the great majority were positive after two months, but
12 there will be some that perhaps might wait three or four.

13 DR. BENENSON: Do you agree?

14 DR. WALTON: Yes, I agree. I would say 90 percent.
15 The other 10 percent --

16 DR. MARSDEN: And there are always some that you,
17 unfortunately, nobody knows why, but they are not positive.

18 DR. BENENSON: Dr. Moussa?

19 COL. MOUSSA: I just want to add a comment here.
20 Maybe some of you may not be aware of the fact that at the
21 Letterman Army Institute of Research on the West Coast in
22 San Francisco, a group there who are working on the
23 Montenegro skin testing antigen, and they do have a protocol.
24 The work is in progress. They do have an animal model
25 which is sensitized, and they were able to elicit immediate

1 and delayed hypersensitivity skin response to the antigen.
2 They have a method which they perfected there for producing
3 the antigen en masse and purifying it which improved a great
4 deal in that direction. They prepared several batches of
5 the Montenegro test which they are now testing for shelf
6 life, stability and going through all the things that
7 Colonel Russell mentioned earlier about some of these problems
8 that have to be satisfied for an IND.

9 So, this work is actually being pursued.

10 MAJ. HENDRICKS: There is another question on the
11 time frame. Dr. Johnson mentioned that the majority of
12 lesions would appear within as early as three weeks and a
13 majority within two to three months. Is that a consensus
14 of opinion that that is a fairly good time frame, for example,
15 if it was simply a physical exam, that one-shot thing
16 operationally to look at these people for evidence of
17 cutaneous lesion?

18 DR. BENENSON: I did not quite get the critical
19 point there.

20 MAJ. HENDRICKS: When would be the best time to
21 look, if we were going to strip all these people, for
22 example, to look for cutaneous lesions, if it was a one-time
23 operation?

24 DR. BENENSON: Are you offering an answer?

25 CAPT. BROWNLOW: No, sir. The question is if we

1 only examine them one time, postoperational 600 army or
2 700 marines or something like that, and we can only mount
3 up one examination period, when is the optimal time post-
4 exposure to do that physical examination?

5 DR. MARSDEN: As late as possible.

6 CAPT. BROWNLOW: But we have problems, of course,
7 like everybody else with the personnel moving on.

8 DR. BENENSON: Are you doing this for statistical
9 purposes or for medical purposes?

10 CAPT. BROWNLOW: Medical purposes.

11 DR. BENENSON: For medical purposes, it would
12 seem to me that, well, I thought we had answered it in the
13 other question, and that is that a routine physical
14 examination does not seem warranted, but for statistical
15 purposes, yes, because you want to make sure you don't miss
16 any lesion which might heal itself, but if it does not
17 heal itself, they are going to come in on sick call,
18 aren't they?

19 CAPT. BROWNLOW: You are recommending that we don't
20 program a specific physical exam following deployment?

21 DR. BENENSON: That is essentially what I took this
22 B to indicate that we had all agreed on, that we not do any
23 routine physical examination of everybody who comes out of
24 the Canal Zone or out of Brazil but let them know that if
25 they develop a skin lesion to go in on sick call or get to

1 the dispensary and tell the doctor there that they have been
2 in the tropical exercise in the Canal Zone or something like
3 that, rather than take 10,000 troops and strip them and look
4 at them. We have been talking about the alternative of a
5 skin test, and at least I have looked at it as a practical
6 procedure using the intradermal gadget on the jet injector
7 which is not 100 percent. You will miss some, but at least
8 you can process a lot of troops rapidly in that way and pick
9 out those who develop sensitivity. If you want to go further,
10 again, to me it seems to be largely statistical.

11 Dr. Walton?

12 DR. WALTON: We seem to have been talking about
13 spontaneously healing lesions, and this is something that
14 bothers me. I am not sure that I have ever seen one, and
15 Carl Johnson feels the same way. I think this is rather a
16 trap. It is a dangerous concept that you get lesions that
17 do spontaneously heal, and many of these remain relatively
18 small, and don't ulcerate for a long time, but if they do
19 spontaneously heal, it is a matter of at least a year.

20 So, I can think of some specific examples where
21 our policy of any skin lesion which had not healed in one
22 month could be referred for screening for leishmanial infection
23 would work very well because we had two people with very
24 insignificant lesions on the eyelid. We diagnosed these
25 relatively rapidly by culture. By the time they were

1 administratively processed and treatment was started they
2 had very nasty lesions, and to the point they very well
3 could have lost eyelids and with threat to the eye if we
4 had not made that diagnosis very, very rapidly. These were
5 very insignificant lesions at the time they were picked up.

6 I think maybe I am also influenced by the era in
7 which I was in the Army, you know, like World War II.
8 Formations with combat boots and raincoats were fairly
9 frequent. At that time in Europe scabies, lice and things
10 like this were a problem, and the advantage of this type of
11 examination is that you don't need professional personnel.
12 You can train your unit medics to look for lesions. They
13 can spot a skin lesion and sort them out. It is the sort
14 of thing that can be done at reveille formation. I know the
15 Army has changed, but I still think that this is more
16 practical than lining people up and injecting antigens.

17 I have had some experience with skin test antigens.
18 We did 10,000 at one time in the Far East, and even with a
19 perfectly organized setup and doing them rapidly, it is
20 laborious. It is a tough job, and I really think that we
21 have had a couple of examples of how a quick physical
22 exam can pick them up, and there are no legal complications
23 at all in that procedure.

24 DR. BENENSON: Major Hendricks?

25 MAJ. HENDRICKS: That was one of the reasons I

1 persisted with the point because the physical exam we
2 gave this one study battalion was four to six weeks after
3 their return. We picked up 30 referrals, if you will, with
4 lesions or papules, 10 of which were culture positive.

5 I don't know if at that time they would have been
6 skin test positive. That was the reason I was asking.

7 DR. BENENSON: That is not necessarily inappropriate.
8 I think that is a reversal of our discussion a little while
9 ago. Is it the sense of the group that there should be a
10 strip-down physical inspection at some time after troops come
11 out of an endemic area?

12 Dr. Gunning?

13 CAPT. GUNNING: I think it is a question of your
14 purpose. I think as you stated, if you are trying to find
15 out how many cases there were, then I think you have to
16 inspect them all from head to toe. If you are simply
17 interested in what kind of disease morbidity you are producing,
18 then you wait until they develop a lesion and come forward.

19 DR. BENENSON: I think from the military point of
20 view that is the pertinent question. What are you looking
21 for, the amount of infection or -- I mean, I buy the fact,
22 Brice, that maybe they don't heal themselves, but with the
23 exception of a lesion on the eye or on the eyelid, I don't
24 know that it matters. What do you think, Frank?

25 DR. NEVA: Again, it depends on the kind of

1 leishmaniasis you are talking about. I think it is pretty
2 well documented in the literature that you can get self-healing
3 in leishmaniasis, especially in the Middle East.

4 DR. BENENSON: And in Costa Rica it heals itself.

5 DR. NEVA: I think for the other thing are we
6 talking about research procedures or are we talking about
7 practicalities of just people have been in the endemic area
8 and then what? I agree. I think for research procedure
9 you go through several kinds of screening techniques, but for
10 just worrying about the possibility of leishmaniasis
11 developing if you get a lesion and it fails to heal, then
12 they come in.

13 DR. BENENSON: Dr. Marsden, you have your hand up.
14 With the type of disease that you are dealing with, is there
15 hazard in not recognizing it at its incipient stage?

16 Are we prejudicing the individual in any way by
17 not aggressively trying to find these earliest lesions?

18 DR. MARSDEN: In my area, yes. I mean my responses
19 are different to Brice's. I have a slide somewhere of
20 three cases closely studied of self-healing, but let us take
21 the area where we work, a very remote area in Bahia. There
22 is 43 percent positive Montenegros in the general population,
23 and that correlates very closely with scars. I mean the
24 great majority of these have scars, and these people have
25 access only to grasses. You ask them, and "I have been putting

1 grass on it, doctor, for four or five months, and then it
2 healed." I mean of no therapeutic value at all. So, they
3 are healing, and then if you take in the area the number of
4 people with ulcerated noses of which there are quite a number,
5 30 or 40, I had a Cambridge medical student down there who
6 went around to each house and asked them, you know, and we
7 have got the data for the number of years or months after
8 their lesion on their leg or arm healed their nose broke out,
9 and it is amazing. I mean it stretches over a period of
10 about 10, and I think there is one at 15 years, and then of
11 course we have got some people with terrible noses, and
12 they have just, you know, sort of lost their nose entirely,
13 who have never had a skin lesion, to their knowledge.

14 So, in this area which is almost certainly not the
15 same as the one that Brice is talking about. The answer
16 would be, you know, we have to regard it very seriously
17 which is why we treat everybody with three courses of antimony
18 because we have got no way of differentiating.

19 DR. BENENSON: That is after they come to you
20 with a skin lesion.

21 DR. MARSDEN: Yes.

22 DR. BENENSON: All right. Now, we are talking
23 about those who don't have sufficient or a lesion that they
24 recognize that we have to go look for.

25 DR. MARSDEN: As I say, I have cases where there

1 was no lesion, and obviously they are the extreme example.
2 There are some with very tiny lesions. The people in the
3 area I am talking about have no access to medical facilities,
4 and therefore have never had any definitive treatment of
5 their leishmaniasis.

6 It is interesting that the great majority of
7 them have healed with a scar and never had any trouble. I
8 just might cite six siblings who all got leishmaniasis at
9 the same time. Five healed within the year with nice scars
10 that have never broken down again, and one girl went terribly
11 to the bad with tremendous, lost half a buttock, moved up
12 into the nose, took away the nose, et cetera, all infected
13 at the same time. I cannot believe it is a different species.
14 She just responded in a very odd way.

15 DR. BENENSON: Major Hendricks?

16 MAJ. HENDRICKS: Several of these patients that
17 we have seen already have been in therapy from this
18 particular battalion. They do not have, and I don't think
19 will have or develop obvious lesions. I think we caught
20 some of these very early when it was only a small papule.
21 It will be very hard to qualify a scar, a cutaneous scar on
22 several of them.

23 DR. BENENSON: Dr. Nowosiwsky?

24 COL. NOWOSIWSKY: I just would like to make a brief
25 comment. You know this training in the Canal Zone has been

1 going on for decades, at least since World War II, and I am
2 not aware, and thousands of them, and I am not aware of any
3 major health problems in those individuals who have come back,
4 regardless whether cutaneous leishmaniasis has been diagnosed
5 or not.

6 Now, I am talking only about that particular area.

7 DR. BENENSON: Dr. Schultz?

8 DR. SCHULTZ: One reason that you may not be aware
9 of it is that when the troops are discharged into the
10 civilian population, it is unlikely that civilian physicians
11 will make this diagnosis and give correct treatment, and
12 I don't think you could rely on the troops themselves to
13 associate the skin lesion several months after going into
14 the tropics with their being there even with the warning
15 that they are given.

16 So, I would add that as one reason in favor of
17 inspecting them after training.

18 DR. BENENSON: Dr. Walton?

19 DR. WALTON: This is one of these gut feeling
20 type reactions, but if you noticed the bar graph on a number
21 of cases diagnosed in the Canal Zone for a period of years,
22 the first case in the US military occurred in 1932, and there
23 was not another one for about five years, and there were one
24 or two, very sporadic, and in 1955, it was the first time
25 there was any number. And then it is not only in military.

1 Among the Canal Zone population, Canal employees, we see many
2 more cases of cutaneous leishmaniasis in the last few years
3 than was noticed before.

4 Now, whether this is due to more roads, more people
5 getting out in the areas or whether something has changed
6 ecologically it is a real good guess, but this rather vague
7 impression is borne out by Panamanian physicians, Carl
8 Johnson. Everyone agrees that there is much more cutaneous
9 leishmaniasis now in the last 10 to 15 years than there was
10 before. So, possibly, although we have not seen any
11 secondary effects from the thousands of people we have put
12 through, we might see it in 10 to 15 years. I mean, this
13 is pure speculation.

14 DR. BENENSON: Major Hendricks?

15 MAJ. HENDRICKS: One of the recent scientific
16 publications, National Geographic had an article about the
17 Canal Zone, and it mentioned a factor which might come into
18 this. They did not mention it in line with the disease
19 entities, but they mentioned the cause of the tremendous
20 slashburn(?) agriculture pressure up to the edge of the zone,
21 completely denuding most of the natural forest habitat there
22 that they have a lot of problems more recently with having
23 to continually dredge the Canal. This in itself could drive
24 more infected reservoirs into the area, not saying that they
25 would continue to stay there because of ecological displacement

1 and so forth, but it could build up an increased incidence
2 in the reservoir host as another possibility.

3 CAPT. GUNNING: I was just going to say I think
4 that is the natural history of Leishmania infection, that
5 if you want to study a pocket of Leishmania you go to a
6 place where there is a resettlement area.

7 Certainly in Costa Rica the transmigration areas
8 were the hottest bed, where people were cutting down jungle,
9 where they accumulated a lot of brush on the edge of the
10 clearing. The rodents begin to infest the brush pile. The
11 sandflies are there, and then people stumble into it, and
12 I am sure the same thing is happening in Panama because the
13 population is exploding.

14 DR. BENENSON: I think we are going to have to move
15 on this. I see enough diversity that I will ask for a
16 specific opinion. Do we recommend a what do you call it,
17 raincoat and boot inspection at some time after troops come
18 out of an endemic area?

19 Dr. Farah, yes or no?

20 DR. FARAH: I think in the final analysis, yes.

21 DR. BENENSON: Dr. Gunning?

22 CAPT. GUNNING: I will vote for the marine contingent
23 that is going down there. I would be willing to examine them
24 all.

25 DR. BENENSON: You are saying, "Yes."

1 CAPT. GUNNING: Yes.

2 DR. BENENSON: Dr. Marsden?

3 DR. MARSDEN: Yes.

4 DR. BENENSON: Dr. Neva?

5 DR. NEVA: No.

6 DR. BENENSON: At least we don't have unanimity.

7 Dr. Schultz?

8 DR. SCHULTZ: Yes.

9 DR. BENENSON: Dr. Simpson?

10 DR. SIMPSON: I would vote strongly affirmatively,
11 but at the same time suggest that we recommend a thorough
12 review of the skin test problem. You might want to approach
13 that later.

14 DR. BENENSON: Brice, I think I know your answer
15 is yes.

16 I think the yeas carry it.

17 All right. The next question that Maj. Hendricks
18 specifically asked is when would be do this.

19 Dr. Farah, I will start with you. When would you
20 do it? This is after they come out of the area.

21 DR. FARAH: I think it is a very, very difficult
22 question to answer because it depends on the size of the
23 -- sometimes you will get your lesion in three weeks.
24 Sometimes you will not get it for a year. So, I suggest that
25 this would be done at the latest possible time before they

1 leave the Army.

2 DR. BENENSON: Leaving the control?

3 DR. FARAH: Yes.

4 DR. BENENSON: In other words, up to a year or
5 five years?

6 DR. FARAH: I don't know about five years. I don't
7 if the incubation period is that long, but certainly within
8 eight months to one year.

9 DR. BENENSON: All right. Wouldn't you want to
10 pick a more specific time? In other words, if he is
11 developing a lesion, the implication I get is that yes if he
12 has a lesion, we would want to give him therapy. That is
13 why the majority of you said, "Yes, you do want a physical
14 examination."

15 Now, if the majority of lesions will be manifest
16 in three months, wouldn't you want at that time or maybe make
17 it four months, at that time, to do a physical examination
18 to detect the lesion, rather than wait until eight months
19 or something?

20 DR. FARAH: Yes. I would take a specific period,
21 if I had to put one date, as maybe three or four months, at
22 which time I would --

23 DR. BENENSON: Three to four months.

24 DR. FARAH: Or maybe even three to six months
25 because that would be the peak at which you will get these

1 things. Again, if I carry from the experience in the Middle
2 East, for instance, the peak of the sandfly population and
3 the peak of incidence of disease vary between the period of
4 three and six months within a year.

5 So, if we are really looking for the majority of
6 cases, I would put that about that time.

7 DR. BENENSON: In other words, one might say that
8 what we recommended before that all troops be advised if they
9 develop a skin lesion to report to sick call and then at
10 six months you check out those who have not come in with a
11 lesion.

12 Major Hendricks?

13 MAJ. HENDRICKS: I should know, but I don't know
14 about the Army training cycle. I do know about the Marine
15 Corps training cycle. The jungle warfare training and
16 commitment to the Caribbean area culminates or plateaus
17 their training as an intact battalion. Once they return
18 to the United States they would lose 50 percent of the people
19 immediately and start replacing them. I don't know if that
20 is true with the Army, the 82nd, 101st and so on. So, you
21 would lose half of the population almost immediately from
22 a control in that particular battalion.

23 DR. BENENSON: There is nothing you can do other
24 than warn them if they develop a skin lesion.

25 Dr. Gunning was going to do it, but he cannot --

1 Dr. Brownlow?

2 CAPT. BROWNLOW: You could make a health record
3 entry when everybody is together and also alert your
4 individuals that six months hence --

5 DR. BENENSON: All right. So, then we would leave
6 it that they would be examined at six months, period, I mean
7 how the services work it out; is that feasible from your
8 point of view?

9 COL. NOWOSIWSKY: It is, except I would give us a
10 little bit more elbow room, such as when this is not feasible
11 that we instruct those individuals to be on the lookout for
12 the lesions, so that not to make it so that in each instance
13 we have to have this inspection, because it may not be
14 possible in each instance.

15 DR. BENENSON: I am a little unclear on how one
16 would phrase that. Are you saying that you would rather have
17 it in a period of three to six months, rather than say, "At
18 six months"?

19 COL. NOWOSIWSKY: Oh, yes, a range rather than --

20 DR. BENENSON: Right.

21 COL. NOWOSIWSKY: But then also leaving some room,
22 saying that in those instances where such inspections are
23 not feasible just to --

24 DR. BENENSON: The Navy says that they will put it
25 in the medical records so that they will be looked at at six

1 months. Can you not do that?

2 COL. NOWOSIWSKY: It is one thing putting it in our
3 medical records --

4 DR. BENENSON: I know, but what more can you do?
5 Major Hendricks?

6 MAJ. HENDRICKS: Sir, I think it probably would
7 require even to get it into the record, a formal request
8 from higher authority before even the records were tagged,
9 if it was to be done.

10 DR. BENENSON: Brice?

11 DR. WALTON: I am relying on my memory without
12 going back and checking the records, but all of these cases,
13 for years we recorded the date of the most probable exposure,
14 and I would venture to say that by 60 days after exposure you
15 would have 80 to 90 percent of the lesions recognizable
16 already. Do you remember that, Jim? Wasn't that it, at
17 least 80 or 90 percent by 60 days, and most of them have
18 something recognizable? Probably the mode for reporting
19 to sick call is about six weeks after exposure.

20 DR. BENENSON: Could it be the lag period between
21 incipency of the lesion and recognition that it is chronic
22 and they need therapy? You have got a six month lead which
23 is three months slower.

24 DR. FARAH: Maybe the biologic behavior is a
25 little bit different between the two, obviously, and even

1 in experimental situations, in animals using *L. tropica* in
2 mice and also in things which were done in the early 1940's
3 you could relate between the dose or inoculation and the
4 incubation period. Let us say in the mouse if you give
5 100,000 organisms you may get six to nine weeks before a
6 lesion develops, whereas if you give 2 million organisms the
7 lesion will appear within three weeks, and the same similarly
8 in the findings in the human, but the interesting thing about
9 *L. tropica* is that when there is a peak of *Phlebotomus*, the
10 peak for fresh lesions in the area follows by about three to
11 six months, and this is very true for the new settlements,
12 you know, not the urban type but where there has been
13 exposure out in the field rather than in the city.

14 DR. BENENSON: All right. Let me try this for size.
15 In addition to what we have here, we will add, "When feasible,"
16 and this satisfies your desire, "physical inspection for
17 skin lesions should be carried out three to six months after
18 the individual leaves the endemic area."

19 DR. FARAH: Do you want to put the thing about
20 instructing?

21 DR. BENENSON: Yes. In other words, this
22 paragraph we have here would stand, but then we would
23 conclude it when feasible physical inspection be carried out.

24 COL. NOWOSIWSKY: Dr. Benenson, even if you would
25 add to this that health education of personnel prior to --

1 DR. BENENSON: This is what we tried to say here.
2 They should be advised of the danger with cutaneous
3 leishmaniasis and --

4 COL. NOWOSIWSKY: Oh, that is with the previous
5 paragraph?

6 DR. BENENSON: Yes, and be instructed in methods to
7 avoid -- you know, that was all included. This is just
8 adding this sentence at the end of what we had before. So,
9 we are now recommending when feasible a physical inspection
10 be carried out.

11 Yes, Dr. Simpson?

12 DR. SIMPSON: I certainly agree with all of this,
13 and I would particularly like to concur in the use of
14 Colonel Walton's hard data from Panama that would indicate
15 probably during the third or fourth month would be the ideal
16 time, but regardless of the arbitrary time that we set on this,
17 it seems to me that strong consideration should be given to
18 a rather formal attempt at troop education without creating
19 a whole lot of anxiety. At least an effort should be made
20 to inform the troops about the problem, what this will look
21 like and --

Bowers Reporting Company

22 DR. BENENSON: I think we have already recommended
23 that they be indoctrinated in what it is and so on and so
24 forth. I think that is covered, and how to avoid exposure,
25 minimize exposure. Maybe Dr. Moussa's uniform will come along,

1 and then we won't have to worry about that.

2 Dr. Schultz?

3 DR. SCHULTZ: About this indoctrination business,
4 I would say that it probably would not always stick with a
5 person because of limited understanding of the time span
6 that elapses, and I would say the cure for that would be
7 the concept of the health alert card, either giving it to
8 the soldier himself where he could put it into his effects
9 and hold on to it for future reference or tagging it on to
10 his health record, saying that he has been exposed to
11 leishmaniasis, so that if somebody examines him six months
12 later the physician will think about it.

13 DR. BENENSON: I think that is what in essence
14 Captain Brownlow was suggesting before. I don't know that
15 you need a recommendation from the Board to that effect
16 though, do you, either service? The Air Force, I guess, is
17 not concerned with this problem. They don't do jungle
18 training.

19 DR. SIMPSON: The term "indoctrination" has a
20 very specific connotation, does it not, in the military?
21 It really means a program, not just word of mouth?

22 DR. BENENSON: No, what is implied, we did not use
23 the word "indoctrination" at least if we use what Carl
24 Johnson has written. It says that all individuals should
25 be advised. Now, the services in their health education

1 program, I think ought to know how to proceed on that. I am
2 not sure that it is our function or I don't feel that it is
3 our function to tell them how to administer the advice to the
4 troops. I think the important thing is that they so do.

5 Do you need anything?

6 COL. NOWOSIWSKY: No, this thing, once we have
7 the Board's recommendations in the broadest sense, then we
8 go as far as implementation and try to tailor make it to
9 specific requirements to specific units.

10 DR. BENENSON: All right. Now, what I had written
11 for appropriate screening techniques, we may want to change
12 now, but let me read what I had written because I have
13 labored through writing it.

14 For follow-up studies, the most valuable procedure
15 available today is by the use of the Montenegro skin test.
16 However, this is not available in the United States at the
17 present. It is recommended that appropriate steps be taken
18 to establish the availability of the test for military use.

19 It would be of value in two to four months after
20 exposure. I am not sure that is true. I think that is too
21 short.

22 COL. RUSSELL: I just wonder what the data base is
23 for the value of the Montenegro skin test antigen in the
24 screening as a screening tool. Is there really a data base?

25 DR. BENENSON: I don't think so. I don't know.

1 DR. MARSDEN: You mean a situation like this?

2 COL. RUSSELL: Yes. Is there a data base for the
3 value of the skin test antigen in a large number of non-immunes
4 that have been in and out of an endemic area, and of what
5 real value is it in terms of predicting disease and
6 infection or estimating disease and infection rates?

7 DR. MARSDEN: Of course, what it is is a delayed
8 hypersensitivity test to a specific antigen. It is a
9 sensitive one as such. It will give you some indication as
10 to whether the patient's body has harbored that antigen.
11 That is what it tells you.

12 COL. RUSSELL: In theory. I just have not seen the
13 data that tells both the specificity and the reliability.

14 DR. MARSDEN: There is a lot of data on specificity.
15 We have tried an awful lot of things. Some of the most
16 recent studies are Brice's in Ethiopia. He is very fond of
17 it, but you see you are quite right. The trouble is it has
18 always been used in an endemic area, as Frank says.

19 DR. BENENSON: On a clinical case.

20 DR. MARSDEN: In a population to try and determine
21 what exposure --

22 COL. RUSSELL: None of them have been double blind.

23 DR. MARSDEN: In these areas there are so many
24 things to do.

25 COL. RUSSELL: I understand that. I am just trying

1 to evaluate the basis of your recommendation. That is all.

2 DR. MARSDEN: You are perfectly right.

3 DR. BENENSON: I read this, and I prefaced it by
4 saying that I am not sure that it is any longer valid, and
5 I don't think it is valid because we have recommended a
6 physical inspection. If we have recommended a physical
7 inspection, there is no need that I see to add to that a
8 skin test for the clinical use. Now, again, from the
9 research point of view to try to find out who might have
10 -- Brice does not think any of them would heal themselves,
11 but if we want to find out who might have healed himself,
12 that might give us the answer, but that is research.

13 So, unless somebody on the Committee objects, I am
14 going to strike what I just read and say for C here that
15 the most appropriate screening technique would be the
16 physical inspection.

17 MAJ. TAKAFUJI: You, also, talk about screening
18 of people with skin lesions, because okay, we have picked up
19 now people with skin lesions. Now, how many of these have
20 leishmaniasis. The skin test is still --

21 DR. BENENSON: That is not screening any longer, is
22 it? That is diagnosis.

23 MAJ. TAKAFUJI: It is screening of the lesions that
24 you pick up.

25 DR. BENENSON: If that is the interpretation, then

1 the screening should be the demonstration of the parasites,
2 and actually Carl has gone into that in detail. In other
3 words, if you have a skin lesion, then what you want to do is
4 demonstrate the parasite, either by smear or by culture or
5 biopsy or whatever technique you want. Then you establish
6 your diagnosis. The others are peripheral because the
7 Montenegro at this point might represent infection 20 years
8 ago when they were a kid in that area.

9 Major Hendricks?

10 MAJ. HENDRICKS: Colonel Walton mentioned at least
11 one case that I remember in pointing out there a minute ago.
12 How many subclinical cases might you have that might not be
13 detected on a basis of just physical exam? He pointed out,
14 I think, at least one case or maybe it was eight, I don't
15 remember, where there were skin test positive people, and
16 Dr. Marsden has related a skin test positive with no
17 evidence of cutaneous lesion. You would miss those on
18 simply a physical exam alone, but they could have subclinical
19 cases.

20 DR. BENENSON: All right. Let me ask what you do
21 if you find them? Are you going to treat them? It is going
22 to be another statistic.

23 DR. MARSDEN: It might be worthwhile following
24 those people a little more closely. It is not known as yet
25 in various parts of the world this phenomenon has been

1 recorded, skin test positive and no evidence of lesion,
2 interpreted in East Africa as lizard Leishmania which was
3 mentioned, I think. I mean we don't know whether this is --
4 I, personally, have never seen anyone who has had a skin
5 test -- I have not been there long enough, but I have not
6 seen anybody who has had a skin test positive and then
7 suddenly broken down with galloping leishmaniasis.

8 MAJ. HENDRICKS: But you did mention, I think that
9 you had seen skin test positive that had no obvious scars.

10 DR. MARSDEN: Yes, oh, a high proportion.

11 DR. BENENSON: And the thing I am wondering is
12 43 percent of your population is skin test positive. What
13 are you going to do about it? Are you going to treat them?

14 DR. MARSDEN: A great majority of these have got
15 scars. They have had leishmaniasis. You ask them and they
16 say, "Yes, I had this on the leg." Some of them have not.
17 I cannot do anything about them. They are in the interior.
18 They are better. It is the ones in the nose that worry me.

19 DR. BENENSON: Dr. Simpson?

20 DR. SIMPSON: Would it be within the province of
21 the Subcommittee to recommend exploring the feasibility of
22 a skin test trial, a highly controlled skin test trial on
23 a previously unexposed, non-immune people who undergo a brief
24 exposure of two or three weeks duration just to see what
25 seroconversion does occur?

1 DR. BENENSON: That was what was encompassed in the
2 sentence I had written that said, "It is recommended that
3 appropriate steps be taken to establish the availability of
4 test for military use." That is what you would have to do.
5 You would have to skin test people who have not been exposed
6 to establish the specificity. In other words, they should
7 be negative at that point, and then I don't know how you
8 would really establish the sensitivity. You skin test them
9 when they come out, and then if you find these like Brice,
10 do they have disease or not or do they have infection. If
11 the other serologic tests become positive, then I think we
12 would presume that they were infected.

13 Now, the thing we are not discussing or have only
14 alluded to, and I have not written in here is the development
15 of more reliable serological procedures. We have a
16 serological procedure which we think is reliable in Panama
17 but not reliable in Washington. That means that we need
18 more reliable serological procedures.

19 COL. DIGGS: Is it reliable in Panama in two
20 months?

21 DR. BENENSON: Skin test or serology?

22 COL. DIGGS: Serology.

23 DR. WALTON: I don't know what the total size of
24 our military population statistics is, and this was not a
25 single test. Some of these people came back to the clinic

1 three times, but to my knowledge I can only think of one
2 person that remained serologically positive in parasitologically
3 proved diagnosis.

4 DR. BENENSON: Positive or negative?

5 DR. WALTON: I am sorry, remained negative. So,
6 in essentially 99 point something percent of the people who
7 have had lesions that were proven, they were serologically
8 positive.

9 COL. RUSSELL: IF we repeat it enough, we will get
10 all of them with Carter's test.

11 DR. BENENSON: I suggest you get Brice to run some
12 of your serum for you and see whether the Panamanian test
13 is different from the Washington test.

14 COL. DIGGS: We already did.

15 DR. BENENSON: Major Hendricks?

16 MAJ. HENDRICKS: I think, Carter, what you asked
17 them was at two months' exposure or two months known
18 infection.

19 COL. DIGGS: Yes, two months after exposure.

20 DR. BENENSON: You still have the problem that
21 20 percent of yours were positive before they were ever
22 exposed. That is the weakness in your serological data.
23 There is no specificity. I don't know what a positive means.

24 DR. WALTON: We get about 2 percent in our normal
25 population overall.

1 DR. BENENSON: Dr. Gunning?

2 CAPT. GUNNING: I was going to say that I think
3 there is a terrible problem with what I perceive to be as
4 follows: A lot of people are under the impression the same
5 as Dr. Walton, that he has never seen one heal spontaneously,
6 and I want to go on record as saying that I have seen one
7 heal spontaneously.

8 Now, that one was right here in the United States,
9 and I know it, in front of my eyes. How many others healed
10 spontaneously that I never knew about in an endemic zone?
11 It is a totally unknown figure, neither known to me or people
12 working in that area. So, when you take a group of people,
13 young people, such as we are going to be putting into
14 Panama, I think you are developing two problems. I don't
15 think you have any idea how many have lesions that are so
16 non-descript and non-specific that you will never know they
17 had the disease which I think is kind of important from an
18 epidemiologic point of view, and the second problem is that
19 many of our young people have such multiple skin lesions.
20 For instance, they get into the tropics and get acne tropica,
21 and you have a chap coming down, and you think you have a
22 lesion. Which one do you culture, and the money basically
23 is in not culturing at all unless you have got real evidence
24 of ulcerative breakdown or skin test positivity, and then
25 you can really go after it. Otherwise you are chasing your

1 tail, and I see a great need for developing the skin test, and
2 I don't think it is going to happen this year, but I really
3 would like to make a statement in here that I think it is an
4 important test. I don't think and agree with Dr. Russell
5 that there is no data that uses it as a sort of a prospective
6 affair. It has always been used in my experience as with
7 Dr. Marsden to verify the fact that this lesion is indeed
8 Leishmania, but yet it is a highly specific test because we
9 have enough corroboration with culture positivity and the
10 good delayed hypersensitivity that it does produce to say
11 that it is a good test, and I think it would probably be a
12 good epidemiologic tool, but that has never been really
13 tested that way to my knowledge. I think there is only one
14 way to do it, and that is do it.

15 DR. BENENSON: Dr. Marsden?

16 DR. MARSDEN: Actually we do have data which I
17 will send if you are interested from this study area because
18 we have now done 1,300 of Montenegros there, and we have
19 the correlation with skin scars and history of leishmaniasis,
20 and it is a very strong correlation. The discussion
21 stimulates me to do this in an area where there is no
22 leishmaniasis which I have as well, and I could do.

23 I am glad this point was raised because I do feel
24 it is important to put on record something about the skin
25 test. Your situation is benign at the moment. I cannot

1 regard this, if you will excuse my saying so as very important
2 at the moment, but it could become very serious, and the value
3 of the skin test, it could give you some idea of degree of
4 exposure of a group of men. I think it would be unfortunate
5 if it was lost sight of. We cannot implement it at the
6 present time.

7 DR. BENENSON: All right. Let me try the modified
8 draft here. For follow-up studies the most promising
9 procedures available today are immunological, the Montenegro
10 skin test and serological test. However, these are not --
11 the skin test is not available for use in the USA at
12 present.

13 It is recommended that appropriate steps be taken
14 to establish the availability of these tests for military
15 use.

16 We have got to straighten out the IFAT discrepancy
17 here and the other thing is that certainly anybody who works
18 in those areas, everybody that I know who has been handling
19 leishmaniasis is sold on the Montenegro test. That means that
20 work has to be done to validate it, if in the sanctum
21 sanctorum, the USA, the FDA says, "It ain't no good." So,
22 we ought to test it out. If it ain't no good, we ought to
23 tell the rest of the world. I think you have, in the military
24 here, with troops going into the endemic area the opportunity
25 unlike any other to evaluate it. If you cannot get it anywhere

1 else, Brice will make it for you in Panama.

2 All right. Does that answer C? No subsidiary
3 questions are posed.

4 All right, D. Question, Dr. Marsden?

5 DR. MARSDEN: It is not a question. It is just a
6 point. As I mentioned, we use the hamster a great deal.
7 The hamster is not mentioned in this part here. I am sure
8 you know, that obviously the culture is working extremely
9 well in the material you have here, but certainly from the
10 Brazilian platéau you find yourself forced onto the hamster
11 I think as a procedure for trying to isolate the organism
12 if you are really keen on getting it, so that where there is
13 this business as we are following Johnson' thing --

14 DR. BENENSON: We can just add in here --

15 DR. MARSDEN: Hamster inoculation will be
16 appropriate depending on the area at the end.

17 COL. BURKE: Rather than giving the hamster
18 especially, wouldn't you want to say, "Animal model," rather
19 than the specific hamster.

20 DR. BENENSON: Yes, it could be a mouse. Injection
21 of appropriate laboratory animals.

22 All right. Then we go on to what is the risk of
23 transmission of infection in blood donor programs and what
24 preventive measures are appropriate. I have two opinions
25 on that. I have Carl Johnson's, and I also contacted

1 Pena Chavarria in Costa Rica who of course has been dealing
2 primarily with mexicana but has had -- what is he, eighty-odd
3 years old now, and he has a tremendous experience with
4 leishmaniasis. So, I think if we can get this one
5 distributed, the essence of the answer of both of them is
6 they ain't never heard of it or thought of it. Pena says
7 that bloodstream infections of note -- I am having trouble
8 with his English. A person with manifestation of leishmaniasis
9 would not be acceptable as a blood donor. In other words,
10 if he has a manifest lesion. He is assuming he would not.
11 As far as visceral leishmaniasis, he says he ought to have
12 a fever if he has a parasitemia and Carl Johnson has never
13 been concerned with it or thought of it. Now, actually the
14 practice of the blood bank in Panama may be pertinent, and
15 that is that every donor unit that is used in the Santa Thomas
16 Hospital a sample comes actually to Dr. Johnson who screens
17 it for Chagas' disease but has never looked at it from the
18 point of view of leishmaniasis.

19 Until this query came in, the thought had never
20 arisen, and I am curious to know why the question was raised.

21 COL. NOWOSIWSKY: There were several thoughts,
22 first of all some animal studies that were performed, I
23 understand that the organism was obtained from the heart
24 through intercardiac sample. There were also that the skin
25 lesions would appear if they spread along the lymphatic

1 chains, and then there is a real possibility that it will get
2 into the bloodstream, and what should we do if this thing
3 happens?

4 We were mostly waiting to see what the group of
5 experts to express their opinions, since you may have a
6 real possibility of a number of subclinical cases with no
7 manifest lesions, and what do you do in case you do have
8 some risk.

9 Now, this would be an economic problem for us
10 because taking, for example, Ft. Bragg, we collect monthly
11 anywhere between 250 and 500 units of blood. The combat
12 units are the primary donors of blood, and therefore we
13 would be faced with a large number of units who are drawn
14 from not being contributive.

15 DR. BENENSON: There are no instances that were
16 brought to your attention of someone who developed the
17 disease without having been in the endemic area, in other
18 words, and had received a transfusion. There is no case
19 that instigated the question? None in your records?

20 DR. SCHULTZ: None that I know of, but Marty Wolfe
21 who was here this morning, I believe is the author of a
22 paper on blood-borne protozoan diseases other than malaria
23 in a review that was written about four or five years ago.
24 We could check with him.

25 DR. BENENSON: Dr. Marsden, what is your experience

1 with it?

2 DR. MARSDEN: Let me say that I would agree
3 entirely with Johnson. I have never heard of a case in the
4 literature. We have never seen one in Brazil. Of course, it
5 is well documented with kala-azar in India, not transmission
6 by blood transfusion but the presence in the peripheral blood
7 of parasites. It was the way Colonel Short used to choose
8 his laboratory technicians was to in fact present them with
9 slides, and the first one who found circulating Leishmania
10 in a case of kala-azar got the job, but I agree with what
11 Johnson says here. I think he has done very well.

12 DR. BENENSON: Dr. Farah?

13 DR. FARAH: With cutaneous leishmaniasis due to
14 tropica this has really not been a problem. The parasite is
15 purely a tissue parasite, and there is no evidence of its
16 transmission by hematological route, except possibly in the
17 anergic type of disseminating cutaneous leishmaniasis where
18 lymphatic or leptogenesis(?) spread could be possible, but
19 basically it has really not been a problem. We have never
20 looked for it, but I am not aware that anybody has
21 demonstrated it.

22 In experimental animals which follow very similar
23 picture as in the human Leishmania tropica could spread by
24 hematological spread in certain species, let us say the
25 mouse in which you can find by injection of the skin parasites

AD-A055 782

ARMED FORCES EPIDEMIOLOGICAL BOARD WASHINGTON D C
TRANSCRIPT OF PROCEEDINGS, ARMED FORCES EPIDEMIOLOGICAL BOARD A--ETC(U)
APR 78

F/G 6/5

UNCLASSIFIED

NL

3 OF 3
ADA
055782



END
DATE
FILMED

8 -78
DDC

1 that are in various other organs, including the spleen, and
2 you don't see it in other species that behave differently
3 like the CVA mouse where the lesion remains only localized
4 in the skin.

5 So, I suppose in the final analysis the answer is
6 that it is not a problem unless there is a specific defect
7 in the particular individual.

8 DR. BENENSON: Major Hendricks?

9 MAJ. HENDRICKS: Sir, I have a question, playing
10 as the devil's advocate on this. How can you document that
11 fact that it had been a blood transmission in an endemic area?
12 Consequently, possibly none have ever been reported.

13 DR. BENENSON: This is the question I asked before.
14 Has there ever been a case of leishmaniasis acquired in the
15 United States in someone post-transfusion, period?

16 MAJ. HENDRICKS: Dr. Schultz kind of alluded to
17 something similar to this a while ago. Once they got out of
18 the Army I am not sure they would be recognized if they had
19 cutaneous leishmaniasis.

20 DR. BENENSON: You know my background is military,
21 and I think military medicine is damn good. I, also, have
22 to admit there are some good clinicians outside of the Army,
23 too. They might not think of it right away, but if they
24 have a lesion that is as refractory to therapy as leishmaniasis,
25 ultimately somebody who has served in the Army will think of it.

1 So, it would seem that, now, take Brazilia, take
2 Costa Rica, take Panama, they would be recognized.

3 MAJ. HENDRICKS: But how would you know it was from
4 a transfusion, sir?

5 DR. BENENSON: If this is someone who lives in the
6 City of Panama and never been out of the city, and he had
7 a transfusion, he would have had to have gotten it by
8 transfusion because he was not anywhere where a sandfly could
9 get at him.

10 CAPT. GUNNING: Most of their blood source is from
11 the same city.

12 DR. BENENSON: Yes, that is true, too.

13 DR. NEVA: If you are going to use a strawman like
14 this, you could make the same hypothesis about schizophrenia,
15 that how can you be sure some cases of schizophrenia are not
16 transmitted by blood transfusion.

17 DR. BENENSON: I am not a leishmaniasist, but at
18 least reading something about it, what I read is that the
19 cutaneous strains do very poorly in culture media at 37 degrees,
20 that the visceral strains flourish at 37 degrees and the
21 cutaneous strains don't. In other words, they are heat
22 sensitive strains, and that is why they grow on the surface.

23 Now, the thing that disturbed me a little bit is
24 that yet, the literature is that it was isolated from the
25 heart blood of wild animals and their temperature is usually

1 above 37.

2 I remember blood studies that were done on
3 perfectly normal human beings who got in the hands of
4 dentists, and the dentists did not even pull the teeth. They
5 just rocked the teeth and did blood cultures at the same time,
6 and the blood cultures were positive.

7 Now, these animals, at least what I read from some
8 of these people working in British Honduras and in Brazil,
9 these animals have lesions on them. They have nodules on the
10 tail, and they undoubtedly are palpating them from top to
11 bottom, and then they put a needle in their heart. They
12 could have created a parasitemia at that moment.

13 DR. MARSDEN: But these animals you refer to were
14 experimental animals in your laboratory?

15 COL. NOWOSIWSKY: No, these are, sir, from your
16 distinguished laboratory of Christiansen and Herrar.

17 DR. NEVA: Oh, this is the old observations of
18 Marshall Herdicks on the isolation from the spine in rat.

19 DR. BENENSON: Yes. There is one species, I forget it,
20 in Brazil that the skin is positive and no known lesions,
21 wherever you take a biopsy of the skin, but it is primarily
22 a cutaneous disease.

23 MAJ. HENDRICKS: Dr. Zeledon recently reported
24 in the Journal of Parasitology from the pocket rat, blood
25 taken from the heart in Costa Rica from wild pocket rats.

1 DR. BENENSON: Yes, I am not saying that you cannot.
2 I say if you manipulate the animal you create a bacteremia
3 and a parasitemia. You manipulate man and you get a bacteremia.
4 Now, it is transient, and if you put a needle in the vein at
5 that moment you will get organisms, but here we have a
6 situation where no one who has been working in the field has
7 any knowledge of any implication that there has been
8 transfusion induced disease.

9 Now, somebody with a great big lesion and his nose
10 is falling off and so on, obviously never would be accepted
11 as a blood donor, and what you are concerned about are these
12 cases with minuscular lesions that we can only find when we
13 do a physical inspection, and I think Frank's hypothesis is
14 a pretty good one. How do you know schizophrenia is not
15 transmitted or anything else?

16 DR. SIMPSON: I was responsible for the blood bank
17 at Cocosolo for about a year, and actually I talked with
18 Carl Johnson about ways of detecting all the things that
19 could be transmitted through blood, and indeed the matter
20 of leishmaniasis did not even occur to us at that point.
21 However, it seems to me that all of the logic that I can
22 bring to bear would indicate that especially with minimal
23 lesions that are not easily detected, minuscule lesions
24 that any parasitemia would be ephemeral. The biomass of
25 circulating parasites would be infinitesimal, and that in the

1 case of an accidental blood transfusion with these that the
2 reticuloendothelial system of the host would be depended
3 upon to eradicate any wandering parasites.

4 So, it seems to me the risk is indeed extremely
5 minimal with the cutaneous strains. I don't really know the
6 answer to the donovani problem, but having said all that, there
7 is one very curious observation that we have seen today that
8 would point to at least a transient parasitemia with
9 Leishmania braziliensis and that is the odd finding that
10 lesions frequently localized around the knees at places
11 where people scuffed themselves and the one report where
12 there was a puncture wound with a briar. I think
13 Dr. Takafuji showed us that which might indicate that there
14 was at least a localization of blood-borne parasites.

15 Had you heard of this particular kind of
16 observation before?

17 MAJ. HENDRICKS: Sir, I brought that up specifically
18 for that point to be possible to be brought out in this
19 discussion. In the 10-day course of convalescence between
20 the second and third therapy the original lesion site was
21 on the right calf. When he reported back at the end of
22 10 days convalescence he had this briar scratch on his left
23 leg which was then positive for cutaneous leishmaniasis.

24 DR. BENENSON: There was nothing there before?

25 MAJ. HENDRICKS: No, sir.

1 CAPT. GUNNING: I will add one more case to that,
2 one of our Taiwan cases, the first case, the lady who
3 developed a lesion in her left antecubital fossa later had
4 the typical lymphatic enlargements and a lesion on her left
5 shoulder. It was treated at that point with Pentostam.
6 The lesions regressed.

7 She went back to her village where I told you we
8 found two cases now, and no other sandflies, and within about
9 a month's time she returned with another lesion now on the
10 right shoulder, but even more important than that, she had
11 a third lesion which was on her left hip in an area that she
12 would never expose to the bite of a sandfly. I was perfectly
13 convinced at that point that I had seen a blood-borne
14 transmission or recrudescence much in the same way that they
15 are talking about in this fellow with the scratches. Whether
16 the trauma produces the locus minoris versus stenti(?) or
17 whatever it is, but I really do think that it occurs, but I
18 think it is extremely infrequent. Otherwise we would have
19 seen it.

20 DR. BENENSON: I think it is perfectly conceivable.

21 If we think -- the question is what is the risk
22 of transmission in blood donor programs? What preventive
23 measures are appropriate? We know of no instance of any
24 suspicion of it. There has been no instance. You say, "How
25 would you prove it?" There is no need to prove it, because

1 there is no instance of suspicion that has come up, so
2 that the other thing is that yes there are evidences of
3 generalization. We see rare cases in Panama in which there
4 is obviously generalization. Usually they have had some
5 damage to the lymphatic system curiously enough. So, it
6 occurs. This is something perhaps associated with the febrile
7 episode. I don't know. Is there a fever when they have
8 a parasitemia, but if it occurs how often does it occur? We
9 are dealing with probabilities. You take a perfectly normal
10 blood donor, and he can be in the incubation period of
11 Q fever because I can document the perfectly normal health
12 of people with rickettsemia with Q fever lasting for about
13 six days before their temperature first goes up. There is a
14 report in the literature of isolating influenza virus from
15 the blood in perfectly normal individual who in the next
16 24 hours later came down with clinical disease. You can go
17 through a host of all possible viral infections that a person
18 can have and not show any symptoms. Here we have another
19 instance of that, and does anybody on the Subcommittee think
20 that the risk is such that the military should apply a
21 screening procedure to potential donors who have been in
22 Panama or whether just to ignore it?

23 I will start at the bottom of the list, Dr. Walton?

24 DR. WALTON: No, I don't think so. As a matter of
25 fact, there is experimental evidence to the contrary.

1 DR. BENENSON: Contrary to what?

2 DR. WALTON: Contrary to the fact that there should
3 be a hazard, experimental evidence with hamsters using
4 visceral forms and cutaneous forms. Cutaneous forms injected
5 in large numbers into the heart blood caused no infection.
6 It did not survive. It filtered out in liver capillary beds.

7 DR. BENENSON: You would say that the risk is
8 hypothetical only?

9 DR. WALTON: Yes.

10 DR. BENENSON: Dr. Simpson?

11 DR. SIMPSON: None, except exclusion of overt
12 lesions.

13 DR. BENENSON: Schultz?

14 DR. SCHULTZ: The question is the risk?

15 DR. BENENSON: The question is whether there is a
16 significant risk of transmission to the point where preventive
17 measures should be established by the military before they
18 use as a blood donor someone who has been in an endemic area.

19 DR. SCHULTZ: Judging from the discussion, I would
20 say, "No."

21 DR. BENENSON: Who is up next, Neva?

22 DR. NEVA: No.

23 DR. BENENSON: Marsden?

24 DR. MARSDEN: No.

25 DR. BENENSON: Gunning?

1 CAPT. GUNNING: No.

2 DR. BENENSON: Farah?

3 DR. FARAH: No, sir.

4 DR. BENENSON: No argument.

5 MAJ. TAKAFUJI: The point should be made that
6 these individuals who have been to Panama should be
7 disqualified from donating blood anyway for three years since
8 they have been in a malarious area.

9 COL. RUSSELL: That is a separate question. Let us
10 not bring that up at 4 o'clock.

11 MAJ. TAKAFUJI: They are not eligible to donate
12 blood. That is the policy we use at Ft. Bragg.

13 DR. BENENSON: Are there any other points to be
14 taken up? From the military point of view, have we answered
15 all your questions?

16 CAPT. BROWNLOW: Yes, sir, I think so.

17 DR. BENENSON: Anything else for us? I appreciate
18 the time that the Committee has given to me and thank you
19 very much and so on and so forth.

20 (Thereupon, at 4 p.m., the meeting was concluded.)

21

22

23

24

25